

In The Matter Of:
DEPARTMENT OF INDUSTRIAL RELATIONS
WILDFIRE SMOKE PROTECTION

PUBLIC HEARING
May 8, 2019

CLARK REPORTING & VIDEO CONFERENCING
2140 SHATTUCK AVE. STE. 407
BERKELEY, CA 94704
510.486.0700

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

Cal/OSHA Advisory Meeting
Protection of Workers from Wildfire Smoke
Wednesday, May 8, 2019
10:00 a.m. - 3:00 p.m.
Elihu Harris State Building
1515 Clay Street, Second Floor, Room 1
Oakland, California

CLARK REPORTING & VIDEO CONFERENCING
2140 SHATTUCK AVENUE STE. 407
BERKELEY, CA 94704
510.486.0700

REPORTED BY SHELLI ENG

PUBLIC HEARING

1
2
3
4
5
6
7
8
9
10
11
12
13
14
15
16
17
18
19
20
21
22
23
24
25

PROCEEDINGS

10:03 A.M.

---oOo---

ERIC BERG: Welcome, everybody. If we can get started in a couple of seconds. Thank you, everybody. Welcome. Okay. We have the handouts on that table over there. And also if everyone could please sign in so we can contact you for future meetings -- is it working now?

Okay. So if everyone could please sign in. And also for Spanish speakers, we have

translation service in the back.

(Spanish dialogue.)

ERIC BERG: I think that's it. Okay. Thank you again, everybody. My name is Eric Berg. I'm the deputy chief of Cal/OSHA Research and Standards. And we are here today to discuss protecting workers from wildfire smoke. And I want to introduce people who are with me.

Chris Kirkham, principal safety engineer of research and standards.

Corey Friedman, attorney with Cal/OSHA.

Amalia Neidhardt, senior safety engineer with

PUBLIC HEARING

1 Cal/OSHA.

2 And from DIR, we have Pamela Allen and
3 Kumani Armstrong, Department of Industrial Relations as
4 well.

5 And then Cora Gherga as assistant chief of
6 Cal/OSHA.

7 Mike Mavieri, principal engineer with
8 Occupational Safety and Health Standards Board.

9 Christina Shup, executive officer,
10 Occupational Safety and Health Standards Board.

11 Maryrose Chan, senior safety engineer with the
12 Occupational Safety and Health Standards Board.

13 And we also have Valerie Royo. She is taking
14 notes for us.

15 Okay. So we posted our draft. We also have a
16 copy of the proposal we are working on. And then we
17 received a lot of comments -- written comments so far.
18 And we weren't able to update it on the website because
19 we got so many comments, we want to go through them
20 first before we do an update.

21 So we are still going to discuss the original
22 draft, even though we are aware of some things that may
23 need clarifying, but we would like your help today to
24 help us clarify those as best as possible.

25 Since we have limited time today, please keep

PUBLIC HEARING

4

1 your comments under five minutes. And if you're unable
2 to get in everything you want, please feel free to send
3 us written comments by this Friday.

4 And when it is your turn to speak, basically
5 we are going to go through each subsection, and then we
6 will ask people to line up and provide any comments.
7 Please provide your name and organization you represent
8 each time you speak because we have a court reporter
9 here taking -- transcribing the meeting. And I think
10 that's it.

11 Let's go into background, why we are here. We
12 are here today to discuss protecting workers from
13 wildfire smoke. And thanks to Petition 573 from
14 Worksafe, CRLA, and California Labor Federation, I
15 proposed we do this emergency regulation that was
16 submitted back in December.

17 And the March -- I believe it was the March
18 standards board meeting, they voted for Cal/OSHA and its
19 board staff to work on emergency regulation to protect
20 workers from wildfire smoke.

21 So, I mean, we put up that draft, got your
22 comments, and now we want to get all your oral comments
23 as well.

24 So I will pass it over to Chris. I think
25 Chris is going to talk about the emergency rule-making

1 process.

2 CHRIS KIRKHAM: Yes. So posted on our website
3 and as a handout in the back, we have a flowchart that
4 looks like this. I want to just kind of point out to
5 you where we were in the process.

6 So this is an emergency rule-making flowchart.
7 So today, we are at a point in the process that precedes
8 this whole flowchart. Today is one of the few days you
9 have to provide us with crucial input, so this is an
10 informal phase of the rule-making process.

11 There will be another Occupational Safety and
12 Health Standards Board meeting on May 16th that is here
13 in Oakland. That will be another opportunity to provide
14 your input. That will be to the standards board.

15 And then July 18th, the standards board will
16 vote on this proposed rule-making, and that will be in
17 San Diego.

18 So three opportunities for input; today,
19 May 16th, and July 18th.

20 Now, then, that gets us into the emergency
21 rule-making process, and that's summarized here in the
22 flowchart. It's very different from normal,
23 nonemergency rule-making. The deadlines are much
24 shorter. Some of the paperwork doesn't come into play
25 here, and it's summarized pretty well on this page.

PUBLIC HEARING

6

1 So you can see on the bottom that if we have
2 an emergency rule that goes into effect, it can be in
3 effect for up to about a year with two possible
4 readoptions in that year.

5 Anything else?

6 ERIC BERG: No.

7 COREY FRIEDMAN: So one thing to keep in mind
8 with this very quick process is that if you have any
9 comments that you don't get a chance to make at this
10 meeting, if you think about something later, we really
11 need that input as soon as possible. So we are asking
12 for any written comments on this draft to be submitted
13 to us by 5:00 p.m. this Friday. So we really encourage
14 you to do so, if you would like to have your comments
15 considered in a revised draft.

16 So we are going to go through this regulation
17 starting with the scope. I would like to address a few
18 issues that have been raised in comments so that you
19 realize what is noted about this draft. And then we
20 will take comments on all of part A.

21 So part A1B notes that one of the requirements
22 for when this regulation is effective, this is a
23 realistic possibility that employees may be exposed to
24 wildfire smoke. "Realistic possibility" is a phrase
25 that is taken from an appeals board -- Occupational

1 Safety and Health Appeals Board decision. It is a
2 little bit esoteric perhaps, and so it's been raised
3 that possibly that should be reasonableness based
4 standard.

5 Another issue is under A2. As you can see, A
6 and B refer to enclosed building or structures, enclosed
7 vehicles. There were a lot of questions about what
8 "effectively limited" means, as far as making sure that
9 employee exposure to outdoor unfiltered air is
10 effectively limited.

11 And the intention of those sections was
12 basically to refer to enclosed buildings or vehicles
13 that are actually closed. So closed windows, closed
14 doors, no open bays, that sort of thing. So we are
15 looking at the potential revision of that language.

16 I also did want to note that although there is
17 reference in the definitions section later on to types
18 of filtration, the scope section does not discuss any
19 particular type of filtration. So the exemptions from
20 the section apply to any enclosed building, structures
21 or vehicles that meet these requirements regardless of
22 what kind of filtration system they may use.

23 Finally, 2E refers to emergency response
24 personnel performing lifesaving emergency rescue and
25 evacuation. And we do welcome comments from anyone

PUBLIC HEARING

8

1 about whether there are specific collusive people with
2 specific activities for which the exemption from all or
3 part of this regulation is appropriate because of the
4 need to act quickly in an emergency and how people would
5 see that defined and see those people in activities
6 described in the regulation.

7 Thank you very much. And like we said
8 earlier, we are taking comments. Please limit them to
9 five minutes each.

10 Would anyone like to speak on subsection A?
11 If you could line up by the podium, that would be great.

12 And just another reminder. We do have a court
13 reporter here -- pardon?

14 And if you could please -- when you begin your
15 comment -- state your name, and if you represent an
16 organization, what organization that would be so that we
17 can keep an accurate record. And please speak a little
18 slower than normal, if you can, so that our court
19 reporter here can take everything down.

20 Thank you.

21 PODIUM SPEAKER: Okay. I'm Dr. Bob Bernstein.
22 I'm a county health officer in Tuolumne County,
23 California.

24 But most relevant to this particular meeting
25 in addition to the experience during smoke exposures in

1 our county, I was the Centers for Disease Control
2 investigator in charge of a four-year followup for
3 loggers that were judged to be the most intensively
4 exposed to highly irrespirable particulate matter during
5 the eruptions in Mount St. Helens and advised
6 President Carter and others on the hazards likely to
7 occur, and published a four-year study in that regard.

8 My concern is that the elimination or
9 discounting of the fire workers/fire persons responsible
10 for responding to fires, as well as emergency workers,
11 to me appears inappropriate in the absence of evidence
12 for long-term results, resulting from a lack of use of
13 N95 or higher efficiency respiratory protection.

14 In the case of the loggers exposed to Mount
15 St. Helens, they were exposed continuously during their
16 work for more than four years, and the study was able to
17 produce evidence using episodic and not continuous,
18 unfortunately, protection with N95 respirators. They
19 were able to do their work, very difficult work, as
20 loggers on Mount St. Helens, but they were also
21 protected from the hazards of what was not just a highly
22 respirable particulate matter, but also a mineral dust
23 containing free silica, which could have resulted in a
24 chronic respiratory hazard.

25 So with evidence in mind that acute hazards

1 are clear, respiratory, cardiovascular, exacerbations of
2 asthma, exacerbations of other existing chronic lung and
3 cardiovascular conditions, that's clear.

4 But in the absence of evidence of long-term
5 effect, I think it's premature to exclude those folks
6 from this rule-making, and I would urge you to make
7 contact with -- if you haven't already done so, which I
8 imagine you have -- both the occupational respiratory
9 studies group in NIOSH in Morgantown, West "by God"
10 Virginia where I work, and in California here with the
11 CDPH.

12 Thank you very much.

13 (Speaker change.)

14 PODIUM SPEAKER: Good morning. My name is
15 Elizabeth Treanor. I work with the Phylmar Regulatory
16 Roundtable. It's a group of companies that are
17 committed to improving workplace safety and health. We
18 have a time limit of five minutes, so I'm going to try
19 to get through this, but Ms. Friedman also asked that we
20 speak slowly, so that's going to be a challenge. So
21 thank you very much for holding this meeting on this
22 extremely important issue.

23 Our first comment is that the board meeting
24 made a finding of emergency for outdoor workplaces. The
25 petition was for outdoor workplaces, and we recommended

1 the emergency regulation focused on outdoor workplaces
2 and deal with indoor when you begin the permanent
3 rule-making because we believe that would be more
4 appropriate to do there.

5 Also, because duration of exposure is
6 essential in determining what the dose is that people
7 are exposed to, we recommend that DOSH include another
8 exception for employees that are exposed to AQI greater
9 than 150 for more than one hour for PM2.5. So we
10 recommend that.

11 In addition, we recommend that there be a
12 revision to the A2E. Some PR member companies will
13 follow me and discuss their activity. There are
14 individuals who are engaged in emergency addressing
15 downed power lines, addressing downed communications
16 facilities, and it is very important that those workers
17 be able to move in quickly and address that.

18 So there is the restoration of power, water
19 systems and communications systems, but there is also
20 the actual removing of the imminent hazard to the local
21 community.

22 So we request that that language be revised to
23 say "emergency response personnel performing emergency
24 operations," and that would cover utility employees and
25 communications employees that are actively involved in

1 controlling high hazards.

2 Thank you very much.

3 COREY FRIEDMAN: Thank you.

4 And it seems like a good time to note if
5 anyone has any specific language that they would like us
6 to consider as the last commenter had, please feel free
7 to send it if you have changes to the draft that you
8 would like to be included. Specific language is always
9 great. Thanks.

10 (Speaker change.)

11 PODIUM SPEAKER: Good morning. Rob Moutrie
12 for the Cal Chamber of Commerce and the coalition who
13 are sitting out there.

14 I will also try to keep it clear, as my habits
15 from court. The reporters will just look at me with a
16 mean face if I go too fast. I will try to be compliant.

17 So as to the scope provision. The employer
18 community concern really is just predictability and
19 feasibility. Looking at the present draft, our concern
20 is that we can't predict well when it would be in
21 effect, and thus when it will trigger the following
22 section. So our thoughts are aimed at addressing that.

23 First, as to section A1A, which refers to a
24 smoke advisory, presently a smoke advisory is not
25 limited by the location of the advisory or the location

1 of the issuing agency, so we would urge that the
2 location of the advisory be tied to either in proximity
3 the work site or including the work site and potentially
4 to advisory agencies, which are either federal or
5 California. We assume that's just an oversight. We are
6 clearly not concerned about fires in Massachusetts.

7 To the second factor, the realistic
8 possibility, which you've acknowledged awareness of,
9 it's our perception that that is because it was
10 important, not really as workable here, and I'm sure
11 others will comment on that. Our thought would be that
12 should be removed and the PR is really well-written in
13 individual line edits which have submitted in their
14 letter to deal with this as well, and we support those
15 which you have; or if not removed an "and" should be
16 added between sections should be A1A and A1B such that
17 we have a warning and a potential for smoke, which
18 narrows it down a little bit.

19 Next we want to flag -- here we are. Yes.

20 Next, though it is not included here, we have
21 some concern that the way this regulation is presently
22 drafted seems to imagine that an employer and/or
23 employee is consistently on their phone or on some other
24 online source checking into AQI, which is truly one of
25 the advantages of AQI. It's available online.

1 But we have concerns about the feasibility of
2 that being a triggering mechanism. You have employees
3 off site. The employer is not constantly checking. It
4 seems like there would be necessarily some time for the
5 employer to notice that. So our thought is there should
6 be some discovery period here to allow employers to
7 catch online information, and to take steps to comply
8 with the effects of the regulation. Our initial thought
9 is twelve hours or one shift, something to that effect.

10 We echo Ms. Treanor's prior comments and the
11 PR letter's comments about this being vested to outdoor
12 only. Indoor creates a host of issues. I'm sure we
13 will get to them later, but MERV routines aren't in
14 place in many buildings.

15 And outdoors workers are also the most
16 exposed, and it's where AB1124, which is, of course, in
17 the background for all of us, I'm sure, is aiming. So
18 we think outdoor is the proper place for us to focus
19 exclusively and PR's edits on that point address that
20 very succinctly, and I think that they are helpful.

21 And related to outdoor, one of the points I
22 will add is though we are using AQI here, AQI is really
23 best suited to outdoor as well.

24 PEL is time weighted. AQI is not time
25 weighted the same way. An outdoor worker is in a

1 consistent environmental time. AQI also makes the most
2 sense in that environment, as opposed to mixed workers
3 walking in and out or something to that effect.

4 And with that, I will stop for the next.

5 Thank you.

6 COREY FRIEDMAN: Thank you.

7 And just to say again, in case I wasn't clear
8 earlier, the exception for closed buildings or
9 structures or closed vehicles, it does not require a
10 MERV filter or another kind of filter. So basically,
11 it's people are inside with all the windows and doors
12 shut and no other big opening under this draft with the
13 intention that they would be accepted.

14 (Speaker change.)

15 PODIUM SPEAKER: Good morning. I'm
16 Roxanne Fynboh. I'm representing California Industrial
17 Hygiene Council or CIHC. We, the council, represents
18 industrial hygienists in the state of California.

19 Our comments have been -- we have done a
20 strikeout and underline format that incorporates our
21 comments that we will be submitting. I'm going to try
22 to summarize some of them here.

23 We consider this to be a sort of emergency
24 action plan type regulation that applies to outdoor
25 workers. And to clarify that, we propose that the title

1 be changed so that it reads, "5141.1. Emergency
2 procedures for protecting outdoor workers from wildfire
3 smoke."

4 Under scope and application, we propose
5 changing No. 1 to "This section applies to outdoor
6 workplaces."

7 And -- no. "This section applies to outdoor
8 workplaces," and then we strike the AQI reference, and I
9 will be explaining that a little more later.

10 And the conditions that the scope -- that the
11 regulation will apply to includes those conditions where
12 wildfire smoke advisory has been issued by a local,
13 regional, state or federal government agency, and there
14 is a realistic possibility -- or alternate word for
15 realistic -- that outdoor employees may be exposed to
16 wildfire smoke.

17 We also propose to strike in consideration of
18 the AQI as being the citing of, in fact, a PEL. And
19 again I will have comments be about that later. We
20 propose to strike C under No. 2.

21 And also propose to eliminate section --
22 section 3, which again we will cover in another section
23 when I make my comments later.

24 (Speaker change.)

25 PODIUM SPEAKER: Hi. I'm Scott Bourdon. I'm

1 with the Cal State University System Wide Risk
2 Management Office. And I did submit some comments
3 earlier, so this is just a brief summary of my comments.

4 One is regarding the use of the AQI, and the
5 challenges of having inconsistencies between the PEL,
6 PM2.5 and AQI when they were developed for different
7 purposes. And, you know, one being more applicable to
8 workers and one being -- the AQI being more applicable
9 to the community.

10 So speaking on behalf of our systemwide risk
11 management, we are -- we prefer the PEL process be
12 pursued.

13 Also, regarding the AQI, there are different
14 baseline AQIs as our understanding for different
15 locations in the state, so if there could be a baseline
16 number, maybe a percentage, a baseline consideration for
17 this language, that might be helpful.

18 Also, regarding the exemption for the employer
19 to demonstrate the concentration below PM2.5, AQI of
20 150.

21 First of all, the language is a little vague.
22 If there could be some clarification on the sampling
23 method used, or as determined by a CIH or CSP, something
24 along those lines, that would be helpful. And also
25 regarding that, for the employer to make that call,

1 then, you know, your local agency has a different
2 number. I just think that's problematic for employers.
3 It's going to be -- I mean, just -- I think it's going
4 to be a challenge to kind of explain that to your local
5 community -- I mean, your employees if you're making
6 that exemption. So a general comment on that.

7 And also regarding 2C, I believe it is, under
8 identification of harmful exposures. That first
9 sentence there, "When exposed to an AQI greater than
10 150," if you can just add "during wildfire event" just
11 so it's clear. I mean, I know in other places you've
12 made that clarification, but if you could just clarify
13 that there in that section.

14 Those are all my comments.

15 (Speaker change.)

16 PODIUM SPEAKER: Good morning. My name is
17 Michael Miller with California Association of Wine Grape
18 Growers. Our growers provide the grapes that make
19 California's finest wines in the world.

20 We take workplace safety very seriously. We
21 have experienced wildfires in wine country in 2017. We
22 had a devastation in wine country. Our vineyards
23 actually served as a firebreak for those fires and
24 stopped them from being even worse than they were, so
25 this is a very important issue for us as growers.

1 Our concern with the scope is that it is very
2 broad-based, and looking at a measure of air quality
3 index based on potentially the regional air quality
4 management district, but the realty is that it is
5 different in several locations in the district.

6 So you may have a district put up an advisory
7 saying here is the level of AQI in one part of the
8 district. It may be very different than another part of
9 the district based on wind patterns and everything else.

10 So we need something that is very specific to
11 the workplace. What is the air quality index at the
12 workplace? I'm not quite sure how we can measure that
13 or how you want to approach that, but if you look at the
14 broad level, this can be very, very hard to determine if
15 you have a vineyard at one location where the air is
16 perfectly fine because the wind is blowing at a certain
17 way, and another location where it is more difficult,
18 but you have the same AQI report from the regional
19 district. So we would like to look at that also.

20 And B, where it says "exposed to wildfire
21 smoke." I think you want to say something about
22 dangerous level of wildfire smoke or something that
23 makes it clear, because the realty is that in the last
24 year, last summer alone, most of California was exposed
25 to wildfire smoke at some level or another.

1 Thank you.

2 (Speaker change.)

3 PODIUM SPEAKER: Good morning. My name is
4 Karen Tynan, and I'm an attorney in California, and I
5 represent a number of agriculture businesses, producers,
6 and have been in the workplace safety arena for about
7 ten years.

8 I would like to specifically speak from my
9 experience as a Sonoma County resident in 2017, so I was
10 there when the fires happened. And one consideration
11 that I would like to put forward is that when these
12 incidents are happening, they are very dynamic and there
13 is a lack of information. And, in fact, in
14 Sonoma County, the cell towers burned down in the early
15 hours of Monday morning, and we had no cell coverage, no
16 ability to check the news from Monday morning at about
17 2 a.m. until Wednesday evening. So just like the olden
18 days, we were relying on AM radio, which was focused on
19 evacuation orders and law enforcement.

20 And so the idea that people are going to be
21 able to use their phone and check a website, I assert is
22 not realistic and is, in fact, not feasible because of
23 the dynamics in these situations -- and I used that
24 Sonoma County example -- we also did not have cable.
25 There were utility cutoffs up through Thursday in

1 Sonoma County, so you're talking about a period of three
2 to four days where there is a lack of information, and
3 the AM radio emergency broadcast are focused more on
4 personal safety and law enforcement. So that comment is
5 directed towards A1A.

6 And further to that, I will support the Cal
7 Chambers' suggestion that the "or" would need to be
8 changed to an "and" because of the difficulty in
9 obtaining information from public sources during the
10 very dynamic times that these fires happened.

11 Thank you.

12 (Speaker change.)

13 PODIUM SPEAKER: How are you doing? My name
14 is Brian Miller. I'm with Rudolph & Sletten and I also
15 represent CEA.

16 I will just clarify as the draft stands now,
17 it appears that A2C does allow for the employer to do
18 local monitoring at the workplace; the challenge being
19 as some of our projects are several miles from the local
20 reading station that the AQI is pulled on the website,
21 and so the conditions vary.

22 Some of the jobs would like to opt to do that
23 local monitoring. Other sections allude to before the
24 shift starts and periodically throughout the day and/or
25 as conditions change through the day; wind, heat and

1 whatnot. So I just want to clarify right now it does
2 stand that you can do your own monitoring.

3 ERIC BERG: Yes. That is the intent, that you
4 can do your own monitoring.

5 Thank you.

6 (Speaker change.)

7 PODIUM SPEAKER: Good morning. I'm
8 Brian Heramb, Certified Industrial Hygienist
9 representing San Diego Gas and Electric, and thanks for
10 the opportunity to make some comments.

11 In particular, I wanted to share just some
12 experiences from a gas and electric utility related to
13 2C.

14 If the AQI of 150 for PM2.5 is used, there are
15 some practical issues related to establishing that for
16 any level of any duration of exposure. One of them is
17 that often we have people in complexes where there may
18 be smoke where they're transiting from one building to
19 the next, and they're indoors for essentially all day,
20 but they may be going back and forth from laboratories
21 to offices or other things.

22 So Elizabeth Treanor suggested that there be a
23 time of one hour, for instance, that would be a
24 reasonable period of time that would allow people to
25 transfer from building to building, but not be adversely

1 affected doing their normal work in an enclosed
2 building.

3 This one hour would also allow some things to
4 happen in other areas where there is active either
5 response or restoration to wildfire. For instance, we
6 have a lot of equipment being delivered by trucking
7 companies.

8 In 2007, we have 3,000 poles that had to be
9 delivered to work sites that have been burned out, and
10 quite a number of those poles came from Canada. So we
11 have on-site deliveries being made where so the people
12 that may be driving trucks or making deliveries are
13 going to be getting in and out of vehicles, maybe just
14 for a brief period of time. So again, practical time
15 limit might be something that would be applicable and an
16 hour is one that we consider may be useful.

17 Related to 2C, the emergency response
18 exemption. I also wanted to share a few things about
19 the activities that gas and electric utilities
20 participate in. There are really at least two
21 significant phases that we were involved. The first one
22 is actual response. And this can occur during -- after
23 firing burns because at that point, we are actually
24 having our own systems, the gas and the electric systems
25 are threatened. And any of the customers can actually

1 be affected by damaged equipped, whether we have leaking
2 pipelines or we have downed wires that are still
3 energized.

4 So some of the responders, responding teams
5 that we have, their purpose is really to identify
6 trouble and immediately respond to protect people, so
7 it's a life protection activity. And sometimes it takes
8 the form of actually doing switching or de-energizing
9 wires or other electric equipment.

10 Sometimes it is a matter of not even being
11 able to do that, but it's trying to keep people out of
12 areas where there are downed wires, for instance, that
13 are energized, to enable people to evacuate safely
14 through different corridors.

15 And when areas are so badly damaged by fire,
16 that buildings are actually destroyed, then it is
17 possible to have gas actually leaking from the gas
18 services to those buildings, and gas crews have to get
19 in and actually shut off the gas either to an entire
20 complex or by home, home by home or business by
21 business, at their meter service.

22 So during the action -- and also if we have
23 any damaged pipelines that require some real aggressive
24 types of work where we actually have to excavate and
25 squeeze pipelines or do some other types of shutoff,

1 then our employees are actually engaged in work as the
2 electric crews are. It is very quick action, very
3 organized teamwork. And it's very focused so that
4 attention to certain types of none -- or things that are
5 not specifically related to the emergency response can
6 sometimes get overlooked or its of lower importance than
7 the immediate protection of people related to the
8 emergency.

9 COREY FRIEDMAN: Could you tell us what
10 protections for PM2.5, if anything, could be used under
11 those circumstances? And I ask that question of anyone
12 who is an employee or has employees that might work
13 under similar condition.

14 PODIUM SPEAKER: Certainly, providing the
15 safety pieces, that's something that can be done ahead
16 of time. And typically for any of our wildfire
17 response, we try to inform our employees and provide
18 them.

19 I think typically the issue is when employees
20 are actually doing the work, then it doesn't interfere
21 with communication or is it just an extra step that they
22 have to take to get -- to actually put on the
23 respirator, to take it out of the truck, or do they
24 remember to actually get it onto their trucks before
25 they reported to areas.

1 If we are doing gas leak response, often we
2 will have airline respirators that can be used for that.
3 But it's typically in the immediate response and the
4 designation and identification of the hazard and the
5 entire -- the steps have to be taken very quickly to
6 assess areas where there is a hazard to the public or
7 any other first responders because we are typically
8 trying to work in coordination with the incident
9 commanders, and we are taking radio communication from
10 that. And our crews are working either individually as
11 a single employee or in two, three, four person crews.
12 So they are often the eyes and ears of, let's say, an
13 incident command structure for certain areas as well.

14 COREY FRIEDMAN: Thank you.

15 PODIUM SPEAKER: Thanks.

16 (Speaker change.)

17 PODIUM SPEAKER: Good morning. Nicole
18 Marquez, senior staff attorney with Worksafe.

19 Just want to focus in on the scope and we
20 applaud the division's efforts in this first draft, and
21 we are in agreement and support of the use of AQI as the
22 measurement to have a threshold for this particular
23 standard. We feel that the "or" is an important part in
24 that there might be a situation where the AQI for PM2.5
25 is greater than the threshold, and no advisory has been

1 issued, but that there is a realistic possibility of
2 smoke exposure. So that gives flexibility and will
3 protect more workers.

4 We also strongly encourage that the division
5 considered changing the thresholds from 150 to 101,
6 which is in our opinion a more protective way to address
7 the situation where you have workers who are possibly
8 part of this incident group or you have kind of certain
9 health conditions, especially landscapers, folks that
10 are doing agricultural work.

11 And so we believe that the AQI for PM2.5
12 should be greater than 101.

13 We also feel that the ease in which folks can
14 kind of obtain this information is reasonable, and my
15 colleagues from Cause will talk a little bit more about
16 that, so thank you.

17 (Speaker change.)

18 PODIUM SPEAKER: Good morning, and thank you
19 for the opportunity to comment on the safety standard.

20 My name is Lucia Marquez, a policy advocate
21 with the Central Coast Alliance United for a Sustainable
22 Economy for Cause in the Central Coast working in the
23 Ventura and Santa Barbara County.

24 During the Thomas Fire in 2017, we saw many
25 farm workers in our region working outdoors without

1 protections from wildfire smoke. So we along with
2 partners distributed over 15,000 masks, as well as
3 providing education of what exposure to wildfire smoke
4 and the impact it has on individuals' health.

5 And so we believe that the threshold of 150 on
6 AQI is not enough. The standard is considered unhealthy
7 for the general public, but many of our workers are
8 already living with preexisting respiratory conditions
9 due to exposure to pesticides or dust in their work
10 places. And so your day-to-day person is being advised
11 to take safety precautions walking around outdoors, but
12 outdoor workers are performing manual labor outdoors
13 often up to ten hours a day.

14 And so during the wildfires in our region, I
15 was outdoors when the AQI was unhealthy above 150,
16 distributing masks. And just standing outdoors, the air
17 quality was immensely bad. So just imagine doing that
18 manual labor for ten hours. That is my belief it should
19 be lowered to 101.

20 And so, yeah. Like I mentioned, outdoor
21 workers we believe are considered the sensitive group,
22 and that is why that threshold should be lowered, as
23 well as the accuracy of AQI readings is really reliable
24 in terms of monitoring the PM2.5 release from wildfire
25 smoke, but in terms of forecasting future conditions and

1 the difficulty of knowing how it would change throughout
2 the day, our thoughts is that, you know, doing that
3 local monitoring for the local air districts, and when
4 we see that there is likelihood for harmful air
5 conditions, you know, taking the precaution to let
6 outdoor workers -- that they should take the necessary
7 precautions to be safe because we know that the pattern
8 is changing, if the air quality is expected to get worse
9 throughout the day, we should just be prepared to know
10 that.

11 So in order to ensure protections for all
12 outdoor workers, the easiest solution for employers is
13 to provide the necessary safety measures like
14 respirators at the beginning of the workday, even if
15 conditions are clear at that time, because it allows
16 them to reasonably expect that conditions may worsen
17 later that day.

18 And so just to speak about the accessibility
19 and the reliability of AQI. We have heard comments
20 about people thinking that it doesn't apply to workers,
21 but, you know, AQI is -- workers are part of the
22 community, and when you're outdoors during wildfires,
23 the air quality is clearly really bad, and you don't
24 necessarily have to reference an AQI to know that. AQI
25 is a tool that allows us to come with that standard, but

1 it's just like you could check it throughout the day and
2 morning on your phone and it's something that employers
3 can do at the start of the day and just monitoring and
4 just being able to be prepared.

5 And if employers have the safety precautions
6 and have respirators available for workers, there isn't
7 necessarily a need to know do I have need to have these
8 masks ready if they already have them available.

9 (Speaker change.)

10 PODIUM SPEAKER: Good morning. My name is
11 Nancy Zuniga and I'm here with IDEPSCA, The Institute of
12 Popular Education of Southern California.

13 We are workers centered in Los Angeles. We
14 offer four worker centers and work mostly with day
15 laborers and domestic workers.

16 We work doing wildfire relief support for day
17 laborers and domestic workers after the Woolsey Fires,
18 mostly in the Malibu and Calabasas areas, and we talked
19 to over 500 workers about how they were impacted by
20 these fires. And so our comments specifically are
21 supporting Worksafe. And Cause was mentioning about the
22 AQI. I just really want to hone in on the sensitive
23 groups. So the majority -- a big majority of day
24 laborers and domestic workers are aging. They're the
25 people that clean our homes and do construction, that do

1 the landscaping that also were the first and second
2 responders during these fires, which is something that a
3 lot of the common public doesn't know, but we know from
4 experience from talking to these workers that they were
5 actually doing this work.

6 And by that, I mean, in many instances,
7 workers shared that they were actually protecting homes,
8 by acting as first responders, from fires actually
9 continuing and spreading into other homes. And so they
10 were in many ways acting as first responders, and so we
11 really think that given that some of those sensitive
12 groups are elders and folks with heart or lung disease,
13 thinking about who day laborers are. Like I said, many
14 are aging. Many are over 60. Many have existing
15 chronic diseases such as diabetes, such as high blood
16 pressure.

17 We do actually have stories and testimonies of
18 workers that did end up in the ER after they were
19 working outdoors doing this type of work. So day
20 laborers and domestic workers, even though they're not
21 typically thought of as doing a lot of the clean up, a
22 lot of the emergency response, they actually were doing
23 this.

24 And so if we really want to have a (inaudible)
25 that protects workers, we have to also think about the

1 most vulnerable workers that are out there, and we
2 really consider that day labors and domestic workers are
3 those workers that are most vulnerable, and within those
4 most vulnerable, those that are part of the sensitive
5 groups.

6 Thank you.

7 (Speaker change.)

8 PODIUM SPEAKER: Good morning. Erin Guerrero
9 on behalf of the California Attractions and Parks
10 Association.

11 Just briefly, our concerns with the scope, we
12 take the health and safety of our employees very
13 seriously, and there is a lack of clarity specifically
14 with regard to employees that move in between both
15 indoor and outdoor areas.

16 Take any kind of theme park or attraction, you
17 will see an employee frequently spend sometime outdoors,
18 sometime indoors. Think about the characters that do
19 this frequently. So we see that there is a lack of
20 clarity sadly which employees this would apply to. And
21 not to repeat what the others have said, there is also a
22 lack of clarity exactly when this would kick in.

23 So for purposes of us being able to comply,
24 and we want to comply, we just request that you consider
25 some clarifications specifically regarding when it takes

1 place and exactly which employees it affects.

2 Thank you.

3 (Speaker change.)

4 PODIUM SPEAKER: Good morning. Bruce Wick,
5 CALPASC Specialty Contractor Association. I align my
6 comments first with Elizabeth Treanor and Rob Moutrie.

7 Secondly, I do want to talk about a couple of
8 things. One is I think it is really important that
9 communication on -- it is important that you all
10 communicate the process to us of an emergency
11 regulation.

12 We are in construction. If I'm going to -- I
13 need to communicate with my member safety coordinator so
14 they are prepared ahead of time when the reg is coming
15 down, the very fast emergency reg process, because they
16 have to train crews that are all over the place and get
17 them up to speed with whatever the reg entails, so it is
18 really important that you communicate when these things
19 will happen, and so we can be prepared to move based on
20 the finality of the reg, et cetera.

21 And I have a question about the timeline. So
22 I would like you to restate the timeline so we can
23 understand it more clearly, but also there is AB-1124 in
24 the legislature that has urgency measure. It is already
25 in the Senate. That is an emergency reg. If that

1 passes, what does that do to this proposal and this
2 process, which sort of gets me back to we always have
3 this problem of trying to balance in a regulation,
4 getting it right and getting it out there.

5 And I was there when Mitch Steiger introduced
6 the petition, and I've heard no one on the employer's
7 side say, no, we don't need a regulation.

8 Let's protect our outdoor workers. And, for
9 instance, the concepts in AB-1124 make a lot of sense to
10 move fast. But, I mean, this is as big as an advisory
11 committee gets. We are going to spend almost an hour
12 talking about the scope, and we are still broadly --
13 have disparity between the stakeholders. And I think we
14 would be better off trying to have a more focused reg.

15 Let's get workers protected by the start of
16 the fire season. We could do that, and then take a
17 little more time to figure out what's the right --
18 what's the full-time reg, you know, kind of like we did
19 with outdoor heat back in the day. Passed an emergency
20 reg, and we amended several times on an ongoing process
21 to make it work out for all people.

22 To try and do an emergency reg of this scope
23 and magnitude in one four-hour meeting is kind of -- is
24 really hard. And let's get the workers protected with
25 this fire season providing respirators. And again, with

1 construction, as many other industries, our workers
2 don't like to eliminate their facial hair. So doing a
3 voluntary opportunity, you know, in providing N95 dust
4 masks, we can get those to thousands, thousands of
5 workers if we have an emergency in the middle of June,
6 but I'm afraid we are going to not provide that, if
7 things get delayed or we try and do something too big
8 too fast.

9 Thank you.

10 COREY FRIEDMAN: So just to give a little
11 information about timing. For those who cannot see me,
12 I'm Corey Friedman. I'm an attorney with Cal/OSHA.

13 About timing, the plan is for the proposed
14 emergency rule-making package to go to the standards
15 board at the July meeting. We should all know if you
16 want to make comments on it, it is probably preferable
17 to do so before that meeting. There is going to be
18 another standards board meeting in May, and I believe
19 June as well. Yes, June as well.

20 So you will have an opportunity either at
21 those meetings to comments, if you would like, because
22 at the July meeting, the standards board will vote. And
23 if they approve something, there will not be further
24 changes in the regulation before it takes effect before
25 it goes to administrative law. And if it is approved,

1 it would take affect.

2 And after the standards board will vote, that
3 that very short procedure on your emergency rule-making
4 page, that takes place after the standards board's vote.

5 So at that point, there won't be an
6 opportunity to change the emergency regulation.

7 However, the emergency regulation is only in effect for
8 a limited period of time.

9 So there will be an opportunity to work on the
10 permanent regulation. Whether that will look like this
11 or something very different, but the emergency
12 regulation has an expiration date. It can be extended
13 for up to about a year, and that's it.

14 So there will be a permanent regulation and
15 that will go through a usual rule-making process. For
16 those of you who may not (inaudible), there will be more
17 opportunities for public comment, more opportunities for
18 potential revision (inaudible) --

19 THE INTERPRETER: I'm sorry, can you speak up
20 louder?

21 COREY FRIEDMAN: So I'm not sure how much of
22 that you caught, the court reporter and the interpreter,
23 but I was saying that once the emergency regulation is
24 in place, assuming that it is, there will be the
25 permanent regulation process, and there will be more

1 opportunities for public comment, that is the longer
2 more detailed process. There is more opportunity for
3 revision.

4 So there will be a chance at that point to
5 talk about changing this, adding to it, subtracting to
6 it, however it turns out. And presumably during that
7 process, if this emergency reg or something like that is
8 enacted, there will also be some experience with this
9 implementation.

10 And just to respond to one point by the last
11 commenter. This is not going to take effect until after
12 the standards board votes and it is approved by
13 administrative law and takes effect, but there is
14 certainly nothing stopping any employers from taking
15 action now.

16 And we are acting quickly because wildfire
17 season is upon us very soon. But, of course, there are
18 already regulations in place that govern respiratory
19 hazards. They are not specific to PM2.5, but they do
20 state that employers must protect workers from
21 respiratory dangers. So nothing is preventing anyone
22 from providing respirators or taking any other actions.

23 PODIUM SPEAKER: All right. Thank you.

24 My name is James Mackenzie with Southern
25 California Edison, and I'm the principal manager of

1 safety programs and compliance.

2 And first, I do want to align my support with
3 the comments from Ms. Treanor from Phylmar Regulatory
4 Round Table. I do agree with the language provided. I
5 also did submit a letter on April 26 that I know you
6 have receipt of as well. It has some specific language.
7 And I wanted to draw attention to four specific points
8 with these comments here.

9 The first being in A2E, I agree with the
10 comments that a couple of organizations have had around
11 widening or providing clarification around emergency
12 responders to those that providing important utility
13 services.

14 And the languages that I provided in my letter
15 simply the same such as those providing utility
16 services. And what that does is allow our workers who
17 often accompany those first responders to go in and make
18 sure that downed lines are de-energized, removed from
19 the area. Mr. Heramb from San Diego Gas and Electric
20 mentioned the gas implications as well.

21 And you had asked a good question earlier
22 around what are we doing today. We do have a
23 longstanding history of providing N95 dust masks to our
24 workers that are in their areas for voluntary use. And
25 that's something that, as I've benchmarked with my

1 utility peers over the years, that I believe is
2 longstanding of all of the companies in California at
3 least that we go through many -- unfortunately, because
4 of the amount of wildfires, we go through many of those
5 masks, and the employees are wearing them.

6 The second point is with regard to further
7 clarification on the outdoor versus indoor. I do
8 support the notion that this is an emergency declared
9 for outdoor workers and agree with allowing some level
10 of exception for those that are maybe traversing between
11 buildings. One hour does seem to be a good time frame
12 for that.

13 A third comment is in response to a couple of
14 comments around A2C, where we do support the provisions
15 allowing the employer to demonstrate that the
16 concentrations do not exceed the AQI of 150. There are
17 tools available to do that.

18 And a question that raises is how we would do
19 that, and we have data that shows that. So the burden
20 is on the employer to support that in those situations.
21 We have several situations of coastal wildfires where
22 the closest -- the closest monitoring equipment is
23 indicating a higher AQI, but with offshore -- I was
24 confused, offshore or onshore. Fresh air is coming in.
25 So where they are working, it's not a problem at that

1 time.

2 So if we have the ability to monitor that, it
3 does allow workers -- one of the challenges that I
4 shared previously is when you have workers working in
5 high voltage situations where you have to wear arc-rated
6 clothing, there are challenges related to some of those
7 respirators and the arc-rated environment, the N95
8 masks. So it allows the retaining voluntary use of
9 allowing the employer to demonstrate whether or not a
10 hazard is there is helpful.

11 The final comment I have is there was a
12 comment earlier, and I know I've heard it as well around
13 the exception for -- this is in A2A, around when the
14 indoor work environment is mechanically ventilated, I
15 think there is some confusion reading the current draft
16 where because of the comments later in the document
17 around the MERV and the HEPA filters, so by simply
18 saying filtered by any mechanical ventilation system, I
19 think it helps clarify that. That's all I have to say.

20 Thank you.

21 (Speaker change.)

22 PODIUM SPEAKER: My name is Jay Weir, and I am
23 a senior manager of environmental health and safety with
24 AT&T.

25 We have requirements under the Department of

1 Homeland Security to be there during the first issue of
2 a fire. For example, the Camp Fire started Thursday
3 night. We were there Friday morning. We needed to be
4 out there to remove and make sure that things were safe
5 for people to come in, including firefighters. We also
6 had the responsibility to make sure the communications
7 were up.

8 You heard earlier about communications, taking
9 time to get it up. We were trying our best to make sure
10 that cell sites that had been destroyed were put back
11 together as quickly as we possibly could by bringing in
12 equipment.

13 We do have a robust program in our company.
14 We do provide N95 masks. That's all part of our
15 program. All of our technicians before they leave are
16 trained. None of them leave alone. I'm usually on site
17 when these things are happening. And they do leave in
18 at least in pairs or in groups so they can take care of
19 each other in the situation that they're in.

20 We are in there when the fire is still going,
21 so they are provided a mask and they could use them.
22 It's on a voluntary use, but most of our people will use
23 their mask.

24 That's why I would like to see just one little
25 part here, and that's the A2E, to add utility services

1 including communications, electric, gas and water. Make
2 it clear.

3 We are first in line to be out there. Every
4 one of our people, before they go in, they're required
5 to carry a letter from the Department of Homeland
6 Security that says you're allowed to go into the fire
7 zone.

8 The other people that we are talking about are
9 not allowed in the fire zone itself, not until after the
10 fire is totally out, but utilities are required to go
11 into the fire zone to make things safe for those people
12 who are putting the fire out.

13 Thanks for your time.

14 (Speaker change.)

15 PODIUM SPEAKER: Hello. My name Abad Leyva.
16 I'm from the Street Level Health Project and the Oakland
17 Workers Collective, and we work with day laborers and
18 recent migrants and refugees.

19 And as somebody else mentioned before, day
20 laborers are first and second response when fire
21 happens, and even after fire happens as well. And this
22 population is being obviously neglected. They have
23 health issues. Sometimes they're unaware of it or
24 ignored. So we believe that is important to reduce AQI
25 from 150 to 101. It is important to base all of these

1 protections on the most vulnerable ones in our
2 population because if we don't do that, then we are not
3 doing our job. And we believe it is important to start
4 right there.

5 Thank you.

6 (Speaker change.)

7 PODIUM SPEAKER: Good morning. I'm Ann Katon
8 from California Rural Legal Assistance Foundation, and I
9 support the comments of Worksafe and Cause and the day
10 laborers.

11 And, in particular, we think -- we really
12 appreciate all the work that Cal/OSHA has done on
13 working on this standard, and it's a great foundation.
14 We do think also that the AQI should -- the threshold
15 should be reduced to 101 to protect the sensitive
16 workers. Workers who are doing very hard physical labor
17 outdoors, if they have any health sensitivities, they're
18 going to be particularly vulnerable.

19 Also, I think it is important to realize you
20 can check the air levels of -- you don't have to check
21 them right out in the field. You can check them where
22 there is cell or internet service in an area, you know,
23 even if it is more spotty because of an emergency. And
24 combined with that, in these kind of situations, it's
25 very important for employers to have a way to

1 communicate with workers who are out in the field, you
2 know, even if the cell service is spotty. That's
3 already required for emergency medical and heat stress
4 standards, so people should have a system for that in
5 place.

6 And AQI is the appropriate -- AQI for PM2.5 --
7 standard to be using because it is readily available.
8 We cannot wait for development of a PEL, and the AQI has
9 been scientifically reviewed very well.

10 Thank you.

11 (Speaker change.)

12 PODIUM SPEAKER: Good morning. My name is
13 Mitch Steiger. I'm with the California Labor
14 Federation.

15 I first just want to thank you both Cal/OSHA
16 and the standards board for all of their work on the
17 standard. I know that it is a very abbreviated
18 timeline, much quickly than we are used to doing things,
19 and we very much appreciate it overall. Thanks.

20 The language here looks really great and we
21 are really impressed at how quickly this came together
22 and what a great starting point we are at.

23 Specifically with respect to this section, we
24 think it makes sense to kind of think about the general
25 hazard that we are looking at here. This one is a

1 little bit unique in that there is no regulation. There
2 is no regulatory language that is going to match this
3 perfectly. It is not a very cooperative hazard. It
4 happens very suddenly. It moves all over the place. It
5 is very hard to measure. It is very hard to track.

6 And when we look at things like the AQI and
7 take it apart and really get into the weeds of the fact
8 it wasn't designed for this, we would urge that those
9 getting into that level of detail about this think about
10 where we are in current language; that right now, what
11 we have is standard that says that if the employer
12 believes exposure is harmful, then they're supposed to
13 do something.

14 And so it essentially asks employers to be
15 physicians, be scientists, be all of these things that
16 they're too busy to do, and we have no idea what their
17 conclusion is going to be, but the result is likely
18 going to be that workers are not exposed to this hazard,
19 and we know this because that's exactly what happened
20 last year.

21 We saw story after story of workers outdoors
22 with nothing who were forced to breathe this air
23 regardless of whether they were pregnant, regardless of
24 whether they have asthma, and see people walking from
25 their cars into a store with masks on.

1 And they're like, well, wait, they're outside
2 for 30 seconds, and they have masks. I'm outside for
3 eight hours. What is this doing to me?

4 And that's also a really important thing to
5 consider, that this hazard goes a lot deeper than just
6 sore throats and just irritated respiratory systems;
7 that this permanently reduces your lung function, and
8 this causes a lot of permanent damage. If you have some
9 sort of underlying heart disease, if you have some sort
10 of underlying respiratory disease, this can mean a
11 hospital visit. This can mean death. And so we really
12 need to do something. We need to do it quickly. It
13 needs to be in place for this year's fire season.

14 And with that in mind, we would echo the
15 comments of previous commenters who said that the AQI
16 should be lowered from 150 to 100 or 101.

17 I just checked, and it looks like about
18 8 percent of the population has asthma, which in
19 California, that's more than a million workers. And so
20 if we were to leave the AQI at 150, we are asking over a
21 million workers to breathe the air that the scientific
22 community seems to agree is very harmful for them to be
23 breathing.

24 And when you consider that with the rest of
25 the regulation on what it is that we are asking

1 employers, it seems pretty fair to make sure that those
2 workers, given that so many of them do have asthma or
3 are pregnant or do have some underlying condition, that
4 they're protected by this standard.

5 And we would also just generally state that --
6 just keep in mind that this is an emergency regulation,
7 that we are going to get to come back and do permanent
8 regs after this, that we will be able to take the time
9 that we need to get into things like exactly how we
10 define it or exactly what we do, but that moving forward
11 with what we've got is very much going to leave a lot of
12 workers at risk of serious harm, and we would just urge
13 everyone involved to keep that mind; that if there is
14 something we don't get exactly right, we should do the
15 absolute best we can for whatever goes to the standards
16 board in a few months.

17 But overall we just need something in place so
18 that we don't go back to that world of workers out there
19 breathing the harmful air with nothing regardless of
20 what's going on, regardless of what the exposure to
21 their own lungs may be, to their own baby may be, and
22 just to keep that in mind as we move forward.

23 Thanks.

24 (Speaker change.)

25 PODIUM SPEAKER: Good morning. Kevin Bland

1 with Ogletree Deakins representing the Western Steel
2 Council, California Framing Contractors Association and
3 the California Framers Contractors. Did I say that
4 twice? Residential Contractors Association is the third
5 one. Sorry about that.

6 I think it is important -- there is one point
7 I want to comment on that came up earlier, Ms. Friedman
8 talking about current regulations. One, we have an
9 emergency regulation law for an emergency situation and
10 we need this in that context because currently, it would
11 be impossible for an employer in the classical wildfire
12 to be able to comply with what the current standard is
13 that morning, that day, with what the respiratory
14 standards say. It is just an impossibility.

15 And so that is why this is important. It is
16 important for employers to have something they can
17 comply with, something that is going to be protective of
18 their employees moving forward, and something that is
19 reasonable. And we have seen this, been through this
20 rodeo a bunch of times, so the last 20 years of doing
21 this.

22 So with that, I want to give a little bit of a
23 history lesson the issue of realistic possibility. I
24 look around the room and I think I may be the only one
25 still around that was there during the drafting of

1 AB-2774, working with agency and with the legislature.
2 Mitch may have been there. Oh, Beth was there, okay.
3 So there was a few.

4 But realistic possibility was something that
5 was tied to a standard dealing with the issuance of a
6 serious violation. It wasn't in this context.

7 And what has happened to that term in front of
8 the standards -- in front of the appeals board as time
9 has gone on, for lack of a term, it's been kind of
10 bastardized. The whole intent and idea of the term
11 "realistic possibility" was not supposed to be the mere
12 possibility or just a possibility. It was supposed to
13 be a lesser standard than the current, which was
14 substantial possibility, but more than just a mere
15 possibility.

16 So I understand that we were considering here
17 reasonable. That would be a higher standard, I think,
18 or one that may have at least more guidance. And I
19 think it's very important that it's tied to something.

20 In AB-2774 that passed in 2011, January 1,
21 became effective, that was tied to an actual hazard.
22 And that was in exchange for language that was there
23 where you had to presume a hazard exists, which combined
24 with substantial probability -- I probably lost half of
25 the room on that.

1 But my point being is realistic possibility in
2 this context doesn't work for employers. It doesn't
3 work for enforcement. It's not -- it needs to be tied.
4 I know we used the word, and my point would be to take
5 that out completely, realistic possibility, and so that
6 an employer knows.

7 Right now, we are also dealing with the issue
8 of the scope of this, with the AQI, index. And I hear
9 arguments to lower it. Right now, we are talking about
10 an emergency situation. We are talking about something
11 that has to happen on a dime. And when we lower this,
12 it is going to make it even harder to comply with.

13 I join in the (inaudible) Cal Chamber, and
14 join in Beth Treanor's comments earlier. And I think
15 it's very important if we get the scope right, I think
16 everything else can flow. There's going to be a few
17 other comments I want to make about other sections, but
18 right now, if we don't tighten up the scope and make it
19 something that employers can comply with reasonably, the
20 division can enforce reasonably, we are not going to
21 protect the employees in which we are trying to protect
22 today.

23 I think if we can accomplish that in here,
24 then we will accomplish something great for the state of
25 California for the employers and the employees to make

1 it safe.

2 But right now the way this stands, I think
3 it's too difficult to understand how to comply with it
4 when you can be in compliance with it with the words
5 "realistic possibility" still in the regulation. So I
6 appreciate that. Thank you. Hope that everybody could
7 hear me all right. Thank you.

8 (Speaker change.)

9 PODIUM SPEAKER: Going morning. Getting
10 pretty close to good afternoon, I suppose. I'm
11 Brian Little with California Farm Bureau Federation.
12 Okay. Good. I'm not going too fast. Okay. Good.

13 The Farm Bureau, you may or may not know is
14 the largest organization probably of agricultural
15 employers in California. And I would like to start off
16 by associating myself with the remarks offered by
17 Mr. Moutrie, Ms. Treanor, Mr. Wick, Ms. Bland, and some
18 of the others who have preceded me.

19 Mr. Wick, in particular, his comments I
20 thought were interesting in that he talked about the
21 fact that -- at least I understood he talked about the
22 fact that it's going to be the responsibility of people
23 like us, in particular, me in particular, to try to
24 explain to, in my case, agriculture employers what the
25 agency expects them to do as a result of this regulation

1 and when they expect them to do it, which leads you back
2 to questions about scope.

3 And the scope, I think, is going to be more
4 than a little bit problematic. Kevin expounded in some
5 length about the realistic possibility problem. That
6 was meant to be a compliment -- expounded at some great
7 explanatory length about the problem with realistic
8 possibility and why that presents a problem.

9 Another thing that concerns me is I'm looking
10 at A1A, wildfire smoke advisory has been issued by -- as
11 I read this, it is any one of local, regional, state or
12 federal government agency.

13 So my concern about that would be if you're an
14 agricultural employer and you're working in a rural
15 area, but a rural area in most of California is going to
16 be closely abutted by urban areas and are going to be
17 subsumed in regional air pollution control and air
18 quality management districts, does that mean that if an
19 employer checks with a local agency that deals with air
20 quality issues -- that a regional agency that deals with
21 air quality issues and finds no applicable alert, and
22 then stops and does not go on to check with state or
23 federal agencies, that he may or may not be aware may
24 have issues or warning.

25 If they had, as this reads, that employer

1 would be required to begin to do the things that are
2 required by the regulation.

3 My suggestion, for what it's worth, would be
4 to allow an employer to be able to consult with the
5 local or regional air quality management agency that
6 covers the area -- geographic area where they are
7 working at a minimum, with the understanding that these
8 regions are very large, and the distribution of smoke
9 and dangerous air quality is going to be significantly
10 influenced by issues like geography and weather.

11 To give you an example of this, the last few
12 days, I've been checking in on the air quality reports
13 with the San Diego air pollution control district. Air
14 quality in San Diego at six locations. Most of the days
15 I've looked, most of those locations have had good air
16 quality.

17 For some reason, the air seems to be pretty
18 bad in Otay Mesa, and the fact that an employer at
19 San Diego County is going to be looking -- and, of
20 course, that's going to change and they're going to be
21 times when the air quality is not going to be good at
22 five and not so good at one.

23 That ag employee in San Diego County is going
24 to be looking at these air quality reports from the
25 pollution control district and trying to figure out, do

1 I look at that? And I tried to figure out whether I'm
2 covered by that in terms of airline miles, in terms of
3 the direction the wind happens to be blowing today. And
4 if I were that employer, I might be a little confused
5 about and concerned about whether or not I know that
6 this triggers on for me.

7 Without taking too much of your time, because
8 I know that there is a limit of what we have to talk
9 about here, I would also like to associate with myself
10 in particular with Bruce's comment about 5144 and the
11 implementation of 5144 in an instance when you have air
12 quality issues.

13 In months when there is a lot of agricultural
14 work going on, our industry will employ 470- to 500,000
15 people. At various times in the year, we reckon there
16 are about a million people that do agricultural work at
17 various times. A lot of people don't work a full year.
18 It would be logistically impossible to prophylactically
19 fit test and medically evaluate a half a million to a
20 million farm workers on the possibility that a wildfire
21 might occur close enough that it would have an impact on
22 the location where your operation operates.

23 Once the fire begins, it's going to be
24 logistically impossible to be able to medically evaluate
25 and fit test 25, 100, 150, 200, 300 workers that are

1 working on your location at that given time probably not
2 before the fire -- the threat from smoke and other air
3 pollutants has passed. So that possibility is going to
4 be very difficult, and that was one of the reasons why I
5 was encouraged when I heard the discussions about this
6 regulation that we would allow provision of respirators
7 at air quality levels in excess of 150 with PM2.5
8 without -- under voluntary respirator rules.

9 But I would say that there is an equal
10 possibility of being able to do this when the air
11 quality reaches 300.

12 As a practical matter when that happens, if
13 you're going to require the implementation of 5144 at
14 that point, you're effectively going to require an air
15 filter employer to cease operation on that day because
16 the -- it is going to be as logistically impossible to
17 either prophylactically fit test and medically evaluate
18 workers or to do it when the fire hits, so that's all I
19 have for now.

20 Thank you very much.

21 (Speaker change.)

22 PODIUM SPEAKER: Good morning. Gail
23 Blanchard-Saiger with California Hospital Association.

24 So I'm here probably on a pretty narrow issue.
25 As many of you know, California healthcare workers are

1 often on the front lines of response when there is a
2 wildfire. And we have a lot of concern both for our
3 patient safety and our employee safety.

4 An evacuation is rarely undertaken unless it
5 is absolutely necessary, so when I saw section A2E,
6 emergency response personnel performing lifesaving
7 emergency rescue and evacuation as exempt, I thought
8 that our healthcare workers that would be engaged in
9 that activity would fall under that section.

10 But in looking at some of the other materials,
11 there is a suggestion that that exception would not
12 apply to healthcare workers evacuating patients. And I
13 guess I'm just a little confused by that, and I'm hoping
14 that there is some clarification that will be realistic
15 to the situation.

16 I don't know if any of you saw the accounts of
17 the Camp Fire and the healthcare workers evacuating the
18 patients at the Feather River Hospital when the fire was
19 coming over the hill. Very scary. Very scary for our
20 patients, for our employees. I would say most of them
21 had masks on, most of the healthcare workers.

22 I don't -- I'm still trying to evaluate and
23 understand what our disaster preparedness plans are that
24 take into account these issues, but we are on the front
25 line, and evacuating the patient is the same as a

1 firefighter fighting the fire or a police officer trying
2 to get folks out of their homes, that type of thing.

3 So hopefully I will have more information by
4 the Friday comment period, but I really think that's a
5 consideration, in particular, with our disaster
6 preparedness plans. Again I'm thinking of a number of
7 healthcare workers came to the hospital location when
8 they realized the fire was so close. I don't know if
9 they could get in and get a mask. There is just a lot
10 of considerations.

11 And obviously that is very different from the
12 experience that our Sacramento hospitals had. Obviously
13 those are indoor workplaces that weren't necessarily
14 that type of evacuation activity.

15 Sacramento, UC Davis and Shriners were
16 accepting patients from Feather River Hospital, but
17 obviously people weren't working outside in the way they
18 were having to work outside in Feather River. So again
19 I plan to have more information to you on Friday, but I
20 think this is a real significant concern for us.

21 Thank you.

22 (Speaker change.)

23 PODIUM SPEAKER: Good morning. My name is
24 Walter Brooks and I'm representing the Napa Valley Grape
25 Growers.

1 The workers' health and safety is of paramount
2 concern to us, the quality of our product depends on the
3 quality and health of our workforce. So we are excited
4 to see you guys take on the challenge of working on
5 this.

6 As most of you know in that valley, we
7 directly experienced the effects of the Tubbs Fire, the
8 Nuns Fire, and the Atlas Peach Fire. And what we
9 realized and maybe possibly the new normal in our
10 environment, our harvest season is going to coincide
11 with fire season and so these incidents may occur more
12 frequently and we need a methodology to deal with this.

13 I want to speak specifically to A2C, and
14 that's the local monitoring. And I'm a grower in Napa
15 Valley and I experienced this and I'm close to the
16 valley floor and we were inundated and everybody was in
17 N95 masks for the work we were doing outdoors.

18 But when I took my fruit to the top of
19 Howell Mountain, there was absolutely no smoke at all
20 out there, and there was no need to do that. But if you
21 were to look at what the AQI index said, you'd say that
22 was a dangerous area.

23 So I like the idea of 2C, where you can do
24 local monitoring. But I'm concerned, you know, some of
25 that local monitoring, the equipment can be tens of

1 thousands of dollars. There are portable ones that run
2 in the hundred of dollars.

3 We do have weather stations at a lot of our
4 vineyards, so the idea of putting an air quality index
5 monitor at your vineyard that would allow you to
6 determine whether it was healthy for your workers to be
7 there is an interesting idea. This may be something
8 that is more part of your permanent regulation than this
9 interim regulation, but trying to understand what we
10 would need to document, what devices, and what equipment
11 you consider to be qualified, and that you would believe
12 those results would be extremely important to be able to
13 actually implement that.

14 And for those who are familiar with Napa
15 Valley, we do everything from valley floor up into
16 2,000, 3,000 foot elevation. So you would expect during
17 a fire that you might have very different conditions at
18 the different sites where you would be harvesting and
19 doing work. And so getting some more clarification
20 around that would be really interesting.

21 Thank you.

22 (Speaker change.)

23 PODIUM SPEAKER: Good morning. Jeremy Smith
24 on behalf of the State Building and Construction Trades
25 Council California.

1 I would like to first thank the staff here,
2 the interpreters, everybody in the room for being here
3 today and turning around this language so quickly.
4 There definitely is a realistic possibility this summer
5 that workers are going to be exposed to something that
6 is going to hurt them. So thank you for your hard work
7 on this.

8 I would like to associate my comments or
9 myself with the comments from Mr. Steiger from Labor
10 Federation and Worksafe. We would agree that the AQI is
11 too high now, and the standard needs to be moved back
12 down to 101, because if we don't do something like that
13 and don't do this standard, what we are asking is for
14 workers to bear the brunt of having to go to work and
15 getting injured just for wanting to show up to work and
16 do what they do every day.

17 So this standard goes a long way towards
18 protecting those workers. There are some places that
19 could be changed. We did have written comments to
20 outline those issues.

21 And finally I would like to finish with -- I
22 only have three pages here -- on the idea of realistic
23 possibility for Kevin.

24 I was in the room when 2774 was negotiated
25 with Glen and Kevin and a myriad of other people. And

1 the reason we changed then to realistic possibilities is
2 because the appeals board at the time was rolling over
3 Cal/OSHA attorneys and worker representatives and
4 overturning decisions where there was serious harm being
5 done to workers.

6 We needed a different terminology than we had
7 then, and realistic possibility, I think, was right then
8 and it is right in this language in the regulation as
9 well, because it helps ensure that workers aren't going
10 to be in danger because some employers think it's just
11 too hard to provide N95 masks or too hard to take care
12 of them.

13 Some of those -- many of the employers in the
14 room are not those employers. Many of the employers and
15 the associations in the room are good employers and want
16 to help workers. Unfortunately, we have to regulate and
17 legislate for the bad employers in this state, and there
18 are many, many bad employers in the state that think
19 it's okay for workers to be outside when you can clearly
20 see with your eyes -- not relying on AQI, not relying on
21 anything else -- clearly see with your eyes that people
22 shouldn't be out there working, and they're still out
23 there working. So it is for those employers that we are
24 here today.

25 Thank you again for your hard work. Thank

1 you.

2 ERIC BERG: All right. Thank you very much
3 everybody for your comments on subsection A. We will
4 move on to subsection B. B and C we can combine.

5 (Off the record.)

6 ERIC BERG: Okay. So we will take comments on
7 subsection B, definitions, and subsection C,
8 identification of harmful exposure.

9 PODIUM SPEAKER: Thank you. My name Elizabeth
10 Treanor. I'm with the Phylmar Regulatory Roundtable,
11 Occupational Safety and Health Forum.

12 I also want to take a moment to express
13 gratitude to the staff for their hard work and quick
14 turnaround on this challenging issue.

15 Regarding definitions, since we believe that
16 the finding that the Board made was for outdoor
17 employees only, we believe that the definitions for high
18 efficiency, particulate air, MERV, and effective
19 filtration and PM2.5 should be deleted in entirety
20 because they relate to indoor environments as opposed to
21 outdoor.

22 Secondly, we recommend that the definition of
23 wildfire smoke be revised to exclude the word "planned
24 or," the reason being is that wildfire events that are
25 planned are done so under the auspices of fire control

1 authorities, who have already done an evaluation of the
2 area prior to instituting it, and therefore that plan
3 should be deleted.

4 Regarding C, identification of harmful
5 exposures, we support the comment made earlier by
6 someone who said that in that provision, it should be
7 clear that whenever an employee may reasonably expect
8 during wildfire events to be exposed to AQI greater than
9 150, that will clarify again that it is during wildfire
10 events.

11 One of the issues that we addressed in our
12 written comments was related to mobile crews. Some of
13 our members, one in particular, mentioned that he had
14 70 crews out covering a 200,000 acre area. And it says
15 here before the shift starts and periodically thereafter
16 to monitor the AQI, what our recommendation is is that
17 we ask the employees to, because if the employees have
18 to switch from one location to another at a different
19 time, they are the ones that are going to be in the best
20 position to identify what the AQI is in the area that
21 they are at the moment, to have someone back in
22 headquarters or whatever who is trying to organize an
23 emergency response, because this is an emergency, to
24 have to track all these -- according to members -- is
25 impossible. And I understand that a few of them will be

1 giving you specific examples.

2 Thank you.

3 (Speaker change.)

4 PODIUM SPEAKER: Hello. My name is
5 John Balmes. I wear multiple hats. I'm a professor of
6 medicine, pulmonary and critical occupational and
7 environmental medicine at UCSF, professor of
8 enviromental health sciences -- sorry if I'm going too
9 fast. Professor of enviromental health sciences at the
10 School of Public Health at U.C. Berkeley, and I'm
11 physician member of the California Resources Board.

12 I have been studying wildland firefighters and
13 wildfire smoke exposure in communities for a long time,
14 and gave 32 media interviews during the -- or following
15 the Camp Fire in November. So I have some strong
16 opinions. I mostly came here to listen. But I just
17 wanted to clarify something about the AQI.

18 I agree with the speakers who have said that
19 the -- that people with preexisting heart and lung
20 disease are at greater risk, more susceptible than the
21 general population. And so would support those
22 individuals getting respiratory protection.

23 I also support anybody who feels uncomfortable
24 to wear an N95 mask, but I have problems with a
25 requirement that everybody should wear a mask at 101 --

1 AQI of 101. I support the 150 for everyone, and 101 for
2 susceptible subgroups.

3 I don't know if that could be written into the
4 rule in a way that people can follow, but that was my
5 main point here.

6 And I also would echo what one of the speakers
7 said earlier. PEL and AQI are two different beasts, and
8 they shouldn't be mixed.

9 Thank you.

10 (Speaker change.)

11 PODIUM SPEAKER: Roxanne Fynboh. California
12 Industrial Hygiene Council.

13 I would like to just say that a lot of our
14 comments with meant to help in -- since this is an
15 emergency rule-making, help to make this very simple and
16 get it through as quickly as possible, and also so that
17 it can be implemented quickly by employers, and that it
18 be very clear.

19 And so we have comments for changes to
20 (inaudible) quite a bit, but also with the realization
21 that certain issues need to be taken up by an advisory
22 committee.

23 In light of that, for section C, first of all,
24 we propose that that section be called "emergency
25 procedures" in line with our view that this is somewhat

1 an emergency action plan, and that employees are covered
2 when they are exposed in accordance within the scope --
3 again, referring back section A.

4 And that at that point, it becomes almost a
5 work practice standard in which we support training,
6 provision of training and a provision of respirators,
7 N95 respirators.

8 We would like to take out the references to
9 the AQI, because we believe that that is something that
10 should be considered by an advisory committee, and that
11 it has many complexities to it. We believe that no data
12 is -- or that bad data is better than no data, and that
13 poor decisions can be made if you have poor data and
14 that this regulation in many ways pushes the employer
15 towards the option of having to do some monitoring.

16 So, for example, if you're trying to show that
17 the controls implemented are effective in reducing the
18 AQI, you necessarily have to go to exposure monitoring,
19 which I think that we need more guidance in terms of how
20 to do that exposure monitoring, and then of how to
21 interpret the data.

22 So while we support the basics of this, that
23 will provide protection from employees by providing some
24 training and then providing respirators, we would like
25 to leave the determination of the hazardous

1 concentration in setting what I was calling sort of a
2 permissible exposure limit to an advisory committee that
3 could consider all the good science and get the input
4 also from also all the stakeholders.

5 Thank you.

6 (Speaker change.)

7 PODIUM SPEAKER: So I'm Dr. Bob Bernstein,
8 County Health Officer for Tuolumne County.

9 I want up to dibble on the remarks I made
10 earlier and the marks just a few moments ago by
11 Dr. Balmes.

12 Missing from the document is the definition of
13 a sensitive group, a member of the sensitive group. And
14 without that, comments that a number of people have made
15 about the need to or the desirability of lowering the
16 advisory to the 101 from 150 is difficult.

17 So especially for groups that are employed,
18 whether it's an agricultural worker, a prisoner who is
19 going to do wildfire work, or an emergency response
20 worker, or whatever employed individuals, at the time of
21 employment, there should be prescreening and a
22 evaluation and fit testing, if there is any anticipation
23 that that individual is going to be working in an
24 environment where AQI will exceed 101, and that person
25 may be a member of a sensitive group.

1 So two things. Please find a way to include
2 the proper definition of a sensitive group, member of
3 sensitive group. And then adjust the requirement N95
4 fit testing and preemployment medical evaluation to
5 employees who may be employed and sensitive to
6 particulate matters exposure in the 2.5 range.

7 (Speaker change.)

8 PODIUM SPEAKER: Bruce Wick, CALPASC.

9 On item C -- this is good, right?
10 Identification of harmful exposures. Again, we've
11 talked about for entities in construction, you can have
12 50 crews over a hundred mile radius, and how do you
13 monitor every site, as it changes, throughout the
14 workday? I mean, there is just no way we can feasibly
15 do something like that. And this is where the balancing
16 of this reg will matter, I think.

17 So, for instance, construction, if the rules
18 regarding -- compliant with 5144, where we don't have to
19 do the fit testing and require shaving off your facial
20 hair, but can hand employees masks, right now, I can't
21 do that as a voluntary basis as the employer to the
22 employee without doing those two things.

23 If we can do this because, as we talked about,
24 wildfires are temporary emergencies, right? And we will
25 get much more compliance if we look at it, in my

1 opinion, that way. And I believe a lot of construction
2 employers will then send out their crews and their
3 superintendents on that day and say wherever you are in
4 this general area, we will provide N95 dusk masks,
5 rather than having to ID what's happening on any
6 specific job site of your 25 or 50 crews.

7 Many employers, we would get much more
8 compliance readily that way if we are balanced that
9 that's the requirement, providing respirators to
10 employees.

11 Thank you.

12 (Speaker change.)

13 PODIUM SPEAKER: Jay Weir with AT&T.

14 I just wanted to add to what Elizabeth Treanor
15 mentioned about mobile crews.

16 I will admit to being a person with 70 crews
17 and over 200,000 acres. It is difficult to try to put
18 the AQI for each specific crew and to track it on a
19 regular basis.

20 Every morning, we leap, we send the groups
21 out. But before we do, we have -- what we have is a
22 specific IIPP for that work, for that firework. We call
23 that the HASP, health and safety plan, which they're
24 covered on prior to leaving the work location on a daily
25 basis. We have the N95 respirators available. They're

1 on the crew trucks. The supervision, including myself,
2 I carry a box of N95 respirators to replenish those that
3 the crews are using.

4 But to try to track the AQI on -- for each
5 crew on a regular basis is impossible. I mean,
6 literally impossible. And to try to do that -- again,
7 we do a daily send out for the guys and take care of
8 them that way.

9 Thanks.

10 (Speaker change.)

11 PODIUM SPEAKER: Good morning. I'm Bill
12 Taylor representing PASMA, Public Agency Safety
13 Management Association.

14 I just want to echo the previous comments
15 about deleting the definitions for effective filtration
16 of PM2.5 and MERV.

17 And just to give you some context, I represent
18 a lot of building facility managers that manage public
19 buildings, and this definition of MERV 13 sent panic to
20 a lot of them as far as the (inaudible).

21 So just deleting would help a lot, eliminate
22 that confusion, if you clarify that (inaudible).

23 Thank you.

24 (Speaker change.)

25 PODIUM SPEAKER: Hi. Tiffany Eng with the

1 California Environmental Justice Alliance or CEJA.

2 We like to comment on section B of the
3 guidelines. And again, we really appreciate these
4 conversations and comments that have been shared today,
5 especially those that have advocated for greater
6 protection for farm workers who, as we all know, endure
7 some of the hardships of working conditions on a daily
8 basis.

9 We wanted to align our comments with those
10 mentioned by Cause, which is also part of the CEJA
11 Alliance. Also with Worksafe, the building trades and
12 CalFed.

13 We really want to emphasize the prior
14 recommendations, number one, that the AQI is the
15 appropriate monitoring system to use for this emergency
16 protocol. We know that it can give fast and updated
17 measurements in air quality and really emphasize its use
18 in these situations.

19 Number two, that the overall AQI for -- PM.2.5
20 should be lowered to 101, sorry -- sorry, not 150.

21 During the last couple of fires in our state,
22 we have witnessed how quickly an AQI of 150 can increase
23 to 300 during a wildfire. We also know that keeping
24 high AQI target of 150 would not be sufficient to
25 safeguard the health and safety of outdoor workers.

1 We at CEJA urge the adoption of stronger
2 standards to protect workers who frankly are some of the
3 people in our state who we rely on to provide the food
4 and agricultural products that we all need to survive
5 and to have a strong economy in our state. And as
6 previous comments are mentioned, you know, quality
7 products rely on healthy and strong workforce.

8 We also want to recognize that an overwhelming
9 majority of these workers are low-income latinias,
10 workers, majority immigrants, and are general people of
11 color. And that by not providing strong protections for
12 these workers, we believe that this is a big
13 environmental injustice.

14 So thank you so much for your time, and we
15 look forward to seeing your final recommendations.

16 (Speaker change.)

17 PODIUM SPEAKER: Hello. Rob Moutrie for the
18 California Chamber of Commerce and Coalition. And
19 please tell me if I speak too quickly or I'm bumping
20 into the mic really loud.

21 First, I would like to echo the comments of
22 Elizabeth Treanor regarding deleting two provisions
23 under the definitions specifically related to indoor
24 references. We believe that this should be focused on
25 outdoor (inaudible) those provisions become obsolete.

1 Secondly, we like to echo the provisions, the
2 statements by Beth that the potential for employers to
3 have their employees tracked while traveling just
4 eliminates a lot of the feasibility issues here. So we
5 like to see that enshrined. You heard multiple people
6 come up and testify about the feasibility issues,
7 communication and travel, so we would like to act on the
8 importance of monitoring of the employees while moving.

9 Next also to the context, this is something
10 that I think is getting lost a little. The context here
11 is really a balancing of we protection. Employers want
12 to protect their employees and making sure it is written
13 clearly and feasibly and predictably so that we can make
14 sure that happens. And that's particularly important as
15 to what Bruce Wick mentioned, that the entire importance
16 of these is because we are in emergency situation, these
17 must come out. Quick fit testing and quick medical
18 evals just aren't feasible in that way.

19 As to the comment made by an esteemed doctor,
20 who I did not catch your name, so my apologies to you in
21 advanced -- to a doctor that perhaps we should
22 prophylactically provide fit testing and medical
23 evaluations to any employee who might be in a wild fire
24 zone.

25 The problem with that, you know, from the

1 employer perspective is that includes the whole state.
2 How are we to know months in advance where there might
3 be a wildfire in California. That's just not a feasible
4 way to approach, which is what brings us -- and I
5 haven't been here as long as Kevin, but to the central
6 point of these, which is to allow masks to be
7 distributed in a feasible way and avoid those things
8 that would get in the way like medical evaluations and
9 fit testing.

10 Sorry. That's the end of my comments. I will
11 keep this later for lunch.

12 (Speaker change.)

13 PODIUM SPEAKER: Hello. My name is
14 David Woodard. I'm with the East Bay Municipal Utility
15 District.

16 I want to speak about C, and I want to echo
17 some of the comments we heard from other speakers and
18 utility agencies. Being a water supplier, we have stuff
19 we do every day just to keep water flowing. There are
20 leaks. There are pipes that need to be fixed. There's
21 inspections and things that need to be done to our
22 system, and the ability to track all of those crews
23 during the day and update their AQIs on the (inaudible)
24 employer is difficult at best either in a room maybe
25 similar to this with people sitting there doing nothing

1 but to track where they're going through the day and
2 updating that.

3 So what we have done in the past, before there
4 was a rule, like a lot of employers here, we certainly
5 here like to take care of our employees. We made N95s
6 available before anyone told us we had to do it. We
7 tried to pull the employees in who are not critical kind
8 of things, but if there's a leak running down Clay
9 Street and water is going everywhere, we have to go fix
10 it. And then they fix that and they move to the next
11 one, and then they move to the next one.

12 And I may have 20, 30, 40 crews out there
13 during the day moving around fixing things, and to track
14 those AQI as they move would be very difficult at best.

15 Thanks.

16 (Speaker change.)

17 PODIUM SPEAKER: So Dr. Bob Bernstein,
18 Tuolumne County health officer.

19 I just want to follow up on the comment on
20 feasibility of providing preemployment fit testing and
21 medical evaluations and require that every employee in
22 the state of California undergo that. That was not the
23 suggestion that I was making.

24 I just learned from my homeowners insurance
25 policy that because I live in a high-risk area, my

1 insurance coverage was going to go up to \$15,000 a year.
2 So I'm sure that there are designated areas regarded as
3 high risk. And what I was talking about where
4 potentially high-risk employee categories, such as fire
5 workers, wildfire workers, such as prisoners who are
6 going to fight wildfires, such as emergency workers and
7 so forth, if they're going to be employed in an area
8 that is at high risk for wildfire, there should be
9 pre-employment assessment and medical evaluation for fit
10 testing of N95 respirators, to find out if they are a
11 member of a sensitive group.

12 (Speaker change.)

13 PODIUM SPEAKER: I was looking for my pen. I
14 found it.

15 Kevin Bland again, California Framing
16 Contractors Association, Western Steel Council, and the
17 Residential Contractors Association.

18 I will be brief. I just want to put a fine
19 point, because I want to make sure everyone is clear of
20 the understanding of without this regulation, what the
21 respiratory requirement is for employees if you're going
22 to voluntarily provide a respirator in the state of
23 California.

24 What that means is that if you do voluntarily
25 provide an N95 respirator to an employee as an employer,

1 there must not be an exposure, and you must provide them
2 with a form called Appendix D that explains all the
3 information about that respirator.

4 But the key term there is, there is no
5 exposure, but they want to wear one anyway, right? And
6 so it really throws the baby out with the bath water
7 when we start saying, well, we can hand these out.

8 That's the purpose of this emergency reg, is because
9 once there is an exposure and it is required, there is
10 medical evaluations, fit testing, a whole host of things
11 of 5144 that are required that are impossible to do in a
12 short notice.

13 And back to, I think, Robert's point, was that
14 if we go down that road, we are going to have to test
15 every single person, keep those for 30 years, it could
16 be invasive for the employees, and it's not going to
17 happen. Let's just be real.

18 And so I want to make sure there is a clear
19 understanding that that's the purpose of this, and why
20 the employers are behind the supportive of, hey, this is
21 an emergency. We want to protect our employees, but we
22 can't be handcuffed with what the 5144 reg requires
23 today. Tomorrow, we want to protect them and be able to
24 do that in a way that's feasible and effective and
25 protective without having to go through all the hoops of

1 5144 even in a voluntary requirement, because even that
2 means there is no exposure if they're wearing it.

3 Thank you.

4 (Speaker change.)

5 PODIUM SPEAKER: Yes. My name is
6 Robert Armstrong. I'm with Pacific Gas & Electric.

7 And I want to take a moment to reiterate
8 what's been set forth by some of the people before me,
9 including Ms. Treanor's comments regarding
10 identification of harmful exposures.

11 PG&E has a service territory of over
12 approximately 70,000 square miles. And when we have one
13 of these fire events, we are very, very good at
14 mobilizing our forces to focus on that particular area.

15 But that being said, we still have -- we still
16 have an enormous number of crews that are still doing
17 business as usual across the rest of our service
18 territory. So just from a logistics standpoint, it
19 would be nearly impossible, as echoed by the young
20 gentleman from AT&T, for us to monitor every location if
21 our very transitory workforces are jumping from one job
22 location to the next.

23 In addition to just being cumbersome, I think
24 it really puts the employee in the best possible
25 position to get the best possible data if they are in

1 some way charged with some of the responsibilities
2 themselves.

3 Thank you.

4 COREY FRIEDMAN: I would just ask to clarify
5 one thing about this rule-making process, which is that
6 after this emergency regulation rule-making is complete,
7 assuming that the emergency reg is put in place, we are
8 going to launch immediately into permanent rule-making.
9 We only have a maximum of about a year to get some
10 regulation in place.

11 And the reason I wanted to bring this up is
12 there was a lot of talk about having a slower advisory
13 process, and there may indeed be room for that,
14 something that would take longer than a year. But that
15 would be in addition to a rule-making that wants to take
16 place within about a year after the time of the
17 emergency rule takes effect. Thanks. And I think we
18 are breaking for lunch.

19 (Lunch recess.)

20 ERIC BERG: One two three.

21 AMALIA NEIDHARDT: We are going to continue.
22 We are going to carry on.

23 The next item that we are seeking input is
24 subsection D, communication. Either comments on
25 subsection D1 or D2, informing employees or encouraging

1 employes. Anyone interested?

2 Thank you.

3 PODIUM SPEAKER: So I'm still Bob Bernstein,
4 county health officer in Tuolumne County, California.

5 We use the EPA's visual methodology for
6 citizens and workers to be able to make an estimate of
7 what the AQI was. In other words, you observe site and
8 the distance from that site to where you are, and the
9 visibility of that site in the EPA methodology enables
10 you to determine whether or not you're in an AQI
11 hazardous for the general population or hazardous for
12 sensitive populations.

13 Is it possible to incorporate that because
14 several people -- I've forgotten the name, the big guy.
15 Several people have made the appropriate comment that we
16 are not wearing personal air breathing zone
17 methodologies. And in the areas where people work or
18 are planning to work or required to work, there isn't
19 necessarily a stationary device, but the ability to use
20 this EPA methodology might serve to at least enable
21 those people with a sensitive medical history to
22 determine whether or not they want to continue to
23 volunteer in that setting, whether they want to help
24 out.

25 AMALIA NEIDHARDT: So for clarification,

1 Dr. Bernstein, so you're saying to use them together
2 with the AQI, the visibility that you're talking about?

3 PODIUM SPEAKER: As an alternative or
4 supplement to the AQI. If, for example, you're not able
5 to find an AQI reading for the area that you're in or
6 planning to work in.

7 AMALIA NEIDHARDT: Got it. Thank you.

8 (Speaker change.)

9 PODIUM SPEAKER: Good afternoon. Division
10 staff. My name is Elizabeth Treanor. I'm with the
11 Regulatory Roundtable, and I did have one comment on D,
12 communication.

13 We are concerned about where it says
14 "informing employees," because in this situation,
15 particularly where you have mobile crews, you want
16 two-way communication. So we would recommend instead of
17 using the word "informing," that you use "communicating
18 with employees about" so that they can give us employers
19 information, and the employers can give them
20 information, and it's more of a two-way way for them to
21 address what the current events and how best to protect
22 themselves.

23 Thank you.

24 (Speaker change.)

25 PODIUM SPEAKER: Bruce Wick with CALPASC.

1 Again, talking about construction, multiple
2 job sites, multiple crews out and about. This section,
3 as it's written, seems to be, hey, somebody is right on
4 site, and you're exchanging information back and forth,
5 when for most contractors, how they would typically like
6 to do this is start of the work shift, you know
7 something is going on, and the employees have the N95
8 dusk mask or we will have done this training, this
9 communication, on a consistent basis, so employees can
10 give us that information.

11 But as it's been talked about, sometimes
12 communication is not available in the way you would like
13 it to be. Superintendents will need to be roaming. We
14 would rather have them focused on making sure everybody
15 is using, you know, whatever they need to be using and
16 we have the availability for the N95 mask.

17 So I think we need to consider employers
18 having options of having done much of this communication
19 ahead of time. And, you know, then communication can be
20 done afterwards as necessary, but not in the middle of
21 this temporary emergency that's going on.

22 (Speaker change.)

23 PODIUM SPEAKER: Good afternoon. Michael
24 Miller with the California Association of Wine Grape
25 Growers. And I apologize for speaking too quickly

1 earlier. I will try to slow down.

2 Relative to communications, I think a
3 hopefully simple resolvable concern, the way that it is
4 currently written, it is very, very subjective at how to
5 be interpreted relative to compliance. Words like
6 establish and implement the system, it use PM2.5 levels
7 instead AQI. I'm not sure what you refer there. And
8 it's got things like encouraging employees to inform the
9 employer of, then it lists the three conditions.

10 If those were simply in a form that was handed
11 to the employee, where he said, hey, please read this
12 form all relative to the situations, and you simply
13 require an open-communication dialogue between the
14 employer and the employee in the various workplaces,
15 that might be a more succinct way of getting that
16 communication issue.

17 But the way this is written right now, it is
18 very subjective at how to be interpreted relative to and
19 requires a tech to comply.

20 Thank you.

21 (Speaker change.)

22 PODIUM SPEAKER: Nicole Marquez. Senior staff
23 attorney with Worksafe.

24 I want to talk about communication and we
25 support lowering the threshold to 101 AQI, or greater.

1 We also believe that in the employer's communication
2 plan, we understand that this wildfire event is
3 temporary, but the health effects could be lifelong. So
4 we would have the employers inform workers about the
5 corresponding levels of health concern and any health
6 effects that this exposure might have.

7 We also support employers encouraging workers
8 to report any adverse symptoms, and also to encourage
9 them to seek first aid. We also support required
10 increased supervision during a wildfire event, and that
11 this means of communication needs to be something that
12 is not a mechanism for harassment or any way of
13 retaliating against workers when they do seek first aid,
14 especially given a climate with a lot of the workers who
15 are low wage, immigrant workers, working in landscaping,
16 construction, day laborers, the climate now is very much
17 anti-immigrant, so we would really want to make sure
18 that the increased supervision during this type of event
19 is in a way that is supportive and helping to prevent
20 any health events.

21 Thank you.

22 (Speaker change.)

23 PODIUM SPEAKER: Lucia Marquez from Cause.

24 In section D, the employer must communicate in
25 a form readily understandable by all employees. It

1 should be emphasized that many agricultural workers
2 primarily speak indigenous languages from Southern
3 Mexico, and many have limited literacy, so we would
4 think that training should be available in the
5 employee's primary language and should not be limited to
6 written materials.

7 And the division should also consider
8 directing the creation of specialized training materials
9 for workforces that (inaudible) barriers to safety
10 training.

11 COREY FRIEDMAN: Okay. The next subsection is
12 subsection E on training. If anyone has any comments on
13 that, I will note there is a reference to an appendix,
14 unfortunately, because we have been trying to work
15 quickly, we have not had an opportunity to make that
16 appendix available yet. We fully intend to do so.

17 But the intention was to provide information
18 to make training easier and simpler for employers and
19 employees to have some documents that would get
20 everything in one place in a way that would facilitate
21 training. So when that is available, it will be made
22 public.

23 PODIUM SPEAKER: Hello. Elizabeth Treanor.
24 Phylmar Regulatory Roundtable.

25 Regarding training, we invite the division to

1 sort of step back and recognize that there is a
2 contextual meaning to training.

3 Since 1991, when training has been required
4 under the Injury and Illness Prevention Program,
5 compliance officer shows up, show me your curricula,
6 show me your sign-in sheet, show me your rosters, and so
7 it has that meaning and connotation for the regulating
8 community.

9 And when we have got a situation -- this is an
10 emergency, so we have people that are trying to restore
11 power, gas, water, communication systems. For them to
12 stand down and make sure they have their curriculum
13 signed -- employees in for a roster, what we would
14 recommend is that you change the word to "instruction"
15 so that the employees have to be instructed in all of
16 those measures to take to protect their health, what the
17 hazards are working with wildfire smoke, working in
18 wildfire smoke, so that it would be clear that on the
19 job briefings and tailgate meetings and those type of
20 training -- those types of instructions are going to be
21 provided rather than what we have come to believe or
22 understand "train" means.

23 Thank you.

24 (Speaker change.)

25 PODIUM SPEAKER: Roxanne Fynboh. California

1 Industrial Hygiene Council.

2 To reiterate what has already been said is
3 that this needs to be done quickly and on an emergency
4 basis and should be simple and informed that the
5 employer can implement to be able to respond quickly.

6 And we suggest that the Cal/OSHA develop
7 appendix A, and in appendix A essentially outline the
8 training program, so it has all the contents that the
9 employer needs to cover so that essentially, section --
10 this training section would reference appendix A and
11 require the employer to provide outdoor employee with
12 training in a form readily understandable by all
13 effective employees when the emergency situation is
14 first identified, and that the training cover the
15 information in appendix A, training be documented is a
16 requirement of the regulation anyway, and that the
17 employer develop the training in advance using that
18 appendix and allowing them to expedite training when the
19 emergency situation is first identified.

20 (Speaker change.)

21 PODIUM SPEAKER: Good afternoon. Brian Little
22 with California Farm Bureau Federation.

23 Looking at the training section E, E8, perhaps
24 something that needs to be pointed out. E indicates
25 that an employee should be properly trained on how to

1 properly put on, use, and maintain respirators provided
2 by the employer.

3 I think it is important to keep in mind that
4 the respirators that are going to be used in this
5 circumstance are going to be filtering face piece
6 respirators which are intended to be disposable.

7 I don't think it necessarily would be a good
8 idea for the training we provide to employees to
9 discourage them from disposing of respirators when they
10 need to be disposed of, and with filter and face piece
11 respirators, their lifespan is typically relatively
12 short.

13 Thank you.

14 (Speaker change.)

15 PODIUM SPEAKER: Lucia Marquez with Cause.

16 In subsection E1, training should include both
17 acute and chronic health effects from wildfire smoke.
18 Without this knowledge, many workers may choose not to
19 wear protective gear if they are not experiencing
20 short-term effects such as difficulty breathing and
21 exposure to themselves -- you know, exposing themselves
22 to long-term health effects such as cancer risk.

23 In section E7 training should include the
24 difference between N95 respirator masks and other facial
25 protection. Farm workers often wear bandannas due to

1 regular exposure to dust and pesticides, and believe
2 that this might also protect them from fine particulates
3 without explicit training otherwise.

4 And then in subsection E8, training should
5 include the need for regularly change of mask at least
6 daily. Particularly, employers should be required --
7 jumping ahead to F, section 3A, to provide new masks
8 daily.

9 Thank you.

10 (Speaker change.)

11 PODIUM SPEAKER: Hello. My name is Nancy
12 Zuniga from IDEPSCA, Institute of Popular Education of
13 Southern California. We work with day laborers and
14 domestic workers.

15 And just to really reiterate, we actually did
16 training in the past couple of months with 200 workers,
17 where we did 15 to 30 minutes in-person trainings
18 showing workers how to properly use N95 masks. And so
19 just to really emphasize the importance of training and
20 having the elements that Cause mentioned right now in
21 terms of accessibility, making sure that it is
22 accessible regardless of literacy levels.

23 The hands-on piece is really important, and
24 really making sure that workers understand how to put it
25 on/off, and how often it needs to get changed. That was

1 really important for sharing with workers.

2 Thank you.

3 (Speaker change.)

4 PODIUM SPEAKER: Hello. James Mackenzie with
5 Southern California Edison.

6 And I also wanted to request the changing of
7 the term "training" to "instruction." There's a lot of
8 logistical concerns with training, as mentioned by
9 Ms. Treanor from Phylmar, in definition and going back
10 to what we typically interpreted as a classroom-type
11 setting where you have PowerPoint presentation and
12 rosters and things of that nature.

13 For this content, I actually -- the logistics
14 is one piece. I actually think it's more effective to
15 be when you are in the field ready to do the work, you
16 have the dust mask in your hand and someone's talking
17 through it. Here is how you put it, here's how you take
18 it off, and it's real-time as opposed to something maybe
19 I had nine months ago and now I have to open it up and
20 remember what I learned in that classroom, because for
21 large employers, that's probably what formal training
22 would refer to, is something that you get on an annual
23 cadence. That's really the only way to logistically
24 handle that.

25 So by referring to it as instruction, we can

1 retain the same content, have it be arguably more
2 effective at the time of the incident.

3 I would also submit that No. 9, bullet 9,
4 there, creates redundancy with the emergency action
5 planning regulations, and so I would offer to remove
6 that -- creates some redundancy with the emergency
7 action planning regulations, so we are pulling in from
8 other regulatory sections that already have expectations
9 for us as an employer there, so it arguably muddies the
10 waters.

11 Thank you.

12 (Speaker change.)

13 PODIUM SPEAKER: Ann Katon from California
14 Rural Legal Assistance Foundation, and I support the
15 comments of Worksafe and the other advocacy
16 organizations.

17 And I just want to add that it's important for
18 the training to include disclosure, that it's the
19 employer's responsibility to provide the respirators and
20 also in addition to being replaced at the end of the
21 work shift, that they should be replaced any time that
22 they become spoilt or clogged.

23 Thank you.

24 (Speaker change.)

25 PODIUM SPEAKER: Good afternoon. Rob Moutrie

1 for the Cal Chamber of Commerce again attempting to go
2 sufficiently slow.

3 So I think I'm going to bring together a
4 couple of things, and thank all of you for your
5 discussion of appendix A, and having kind of a model
6 employers can rely on because much of our concern is
7 ambiguity in what we need to do. So we look toward to
8 seeing that, and hopefully we will have enough time to
9 comment on that and deal with it because it sounds like
10 it will address many of our concerns and many of the
11 concerns about specific provisions that some groups have
12 raised being included.

13 I'd also like to echo the comments of a number
14 of prior speakers related to flexibility. As a group
15 that represents a broad base of employers -- I'm sorry,
16 the gentleman just before me, I forgot your name. My
17 apologies. James.

18 But we want to make sure that the employers
19 have the flexibility to use training (inaudible), and
20 appendix A may solve that depending on the way you see
21 it, but I want to emphasize that to you, given the
22 breadth of off our membership.

23 And last, a specific point as to E-4, which
24 reads presently, employers shall provide training in how
25 employees can check the AQI at their location.

1 We would seek some clarification there because
2 it's not clear. If we all worked in an office every
3 day, it would be easy. We could direct the workers to
4 utilize their personal PCs and check AQI. But how the
5 worker is to on a minute to minute or per shift basis to
6 check the AQI, and if they're required to see a live
7 feed or if they can ask their shift leader who checks at
8 the beginning of the shift.

9 Just clarifying how they're supposed to check
10 on that would help us to anticipate what that requires
11 and prepare for it.

12 Thank you.

13 (Speaker change.)

14 PODIUM SPEAKER: Raj Puri. Occupational
15 medicine physician at Stanford University.

16 I just want to echo some of the points of my
17 colleague, Dr. John Balmes and also Dr. Bernstein, who I
18 just heard.

19 I wanted to emphasize some clarity regarding
20 the definition of sensitive groups to include
21 preexisting asthma, elderly greater than 65, pregnant
22 women, et cetera, and other groups.

23 Also training, what exactly -- to provide some
24 clarity regarding what effective training actually
25 means.

1 Suggesting possibly an online training module,
2 that could be very helpful.

3 And also E3, the right to obtain medical
4 treatment to clarify. What exactly does that mean? Is
5 it to the primary care physician or to the on-site
6 occupational medicine physician or both?

7 ERIC BERG: Okay. The next subsection we
8 would like comments on is subsection F, control of
9 harmful exposures to employees. This includes
10 administrative controls, if they are feasible, and then
11 providing respiratories for volunteer use, if the AQI is
12 less than 300, and then for mandatory use if it's above
13 300. That is subsection F. So we invite people to
14 comment on that now.

15 Thank you.

16 (Speaker change.)

17 PODIUM SPEAKER: Elizabeth Treanor. Still
18 with the Phylmar Regulatory Roundtable unless I got an
19 e-mail before I stood up telling you I was no longer
20 with them.

21 With regard to F, it's long been recognized by
22 the standards board and the division that respiratory
23 protective equipment is permissible in emergency
24 circumstances, and that's in 8 CCR 5141(c)(3), it
25 provides for that use.

1 Feasibility in a regulatory context is really
2 not applicable in an emergency context. One of the
3 things that you mentioned here is that feasible
4 engineering controls will be used. And feasibility is a
5 two-part test; one, is it capable of being achieved, and
6 two, is it without someone going out of business.

7 So employers, and particularly PRR employers,
8 would have the economic means to develop enclosed
9 structures for their workers. But we suggest that in
10 times of emergency, there is better use that can be put
11 to building those enclosed structures, which by the time
12 they're completed, the workers may no longer be there.

13 So we would encourage you to have employers
14 take a look at each situation and identify whether or
15 not engineering or administrative controls would be
16 appropriate. And if they're not appropriate in that
17 context, then they would use the respiratory protection.

18 And when we understand the hierarchy of
19 controls, and in most cases we support it. If you can
20 eliminate the hazard with engineering control, that is
21 always the preference. But this is an emergency
22 situation, and it is not going to be realistic.

23 If you're dealing with a fire, a wildfire
24 event, an engineering control, at least what my members
25 have told me and hopefully they will add something to

1 it. Engineering controls are almost always not really
2 practical in that situation. What they need to do is
3 address the problem of restoring water, power, gas,
4 communication systems, and move on.

5 And those crews tend to keep moving because
6 they're trying to build a structure around them. They
7 could afford to do it and it's capable of being done,
8 but it is not the best use of resources.

9 Thank you.

10 (Speaker change.)

11 PODIUM SPEAKER: Roxanne Fynboh. California
12 Industrial Hygiene Council.

13 We would like to reiterate Elizabeth's
14 comments. We would like to simply refer to section 5141
15 under control of exposure to employees, and then we
16 would like to reiterate that respirators are allowed to
17 be used in emergencies, and that the hierarchy of
18 controls should always be considered, but just to
19 reiterate that that is an allowable option.

20 And then at this point, I would like to just
21 summarize some of my comments. We are saying that we
22 would like to have people protected regardless of the
23 AQI, by looking at whether or not a wildfire smoke
24 advisory has been issued, and there is a possibility of
25 outdoor employees being exposed to wildfire smoke.

1 That would, in itself, trigger certain
2 protective measures, which would include the training
3 component, which hopefully would be outlined in the
4 appendix and be ready to go, and then also would include
5 the provision of N95 respiratory protection.

6 Thank you.

7 (Speaker change.)

8 PODIUM SPEAKER: Michael Miller again.
9 California Association of Wine Grape Growers.

10 We are very concerned about worker safety. We
11 want our workers to be safe and healthy when they're
12 working with us. And always, we are concerned that the
13 way that subdivision F3 is written, especially combined
14 with subdivision E and subdivision C, 2C creates a
15 problem where you're requiring the employer to encourage
16 employees to use respirators.

17 So remember, there is no medical evaluation
18 here, so what you are creating is a situation where the
19 worker has been told that there are adverse symptoms
20 likely resulting from wildfire smoke exposure, such as
21 asthma attacks, difficulties breathing and chest pain.

22 They've also been trained or received some
23 guidance on the importance, limitations and benefits of
24 using a respirator when exposed to wildfire smoke. And
25 now the employer is encouraging them to wear the

1 respirator.

2 But what happens if that person has a medical
3 condition where the respirator creates problems for
4 them? The employers say, hey, this is really important
5 you have to wear it. They have been trained on it.
6 They have been given all this information saying you
7 have to -- not that you have to wear it, but the
8 employee worker may very well feel, oh, I really have to
9 wear this, even though they don't know for sure. And it
10 also works the other way around too. What if the
11 employer didn't adequately encourage the worker to wear
12 it, and the worker says, oh, I didn't know I have to
13 wear it. I just thought I was fine to go out there
14 without it. So it's ambiguous and creates problems for
15 how the employer communicates with the worker on the
16 whole voluntary use of the mask.

17 So I would simply suggest that on page 3 --
18 F3A, strike the words "and encourage employees to use
19 respirators."

20 I think with everything else that you're doing
21 as far as training them and giving them information,
22 they're getting that encouragement anyway. But to
23 require it specifically at that point I think creates
24 potential problems and substantial risk for the worker.

25 Thank you.

1 (Speaker change.)

2 PODIUM SPEAKER: Hi. My name is Deborah Gold,
3 and I used to work for Cal/OSHA.

4 And I really wanted to commend the Cal/OSHA's
5 staff work on this proposal. I think you've done an
6 excellent job in a short period of time. Of course,
7 there are differences, 101 versus 150; that I support,
8 but I just think you've done a really excellent job in
9 constructing this.

10 I want to address specifically the issue of
11 mandatory respirator use when the AQI is 300 or 301, and
12 why I think that makes sense. So section 5144 requires
13 for full respirator use, not voluntary use. And I'm not
14 going to use the word "mask" because it is a respirator.
15 It requires a program. It requires an administrator.
16 It requires program review. It requires procedures for
17 use. It requires training. And then for each user, it
18 requires medical evaluation and fit testing.

19 And specifically, I want to address this issue
20 of fit testing. So the assigned protection factor for
21 the N95 respirator is 10. That is that every user who
22 uses a respirator and is in a full respiratory
23 protection program should get a reduction of tenfold
24 from outside respirators to inside respirators.

25 So when we apply that at the level of 300,

1 which is 250 point -- I don't know where these decimals
2 come in this AQI thing -- it implies a level of accuracy
3 that I think might be overstated, but it should then --
4 when you have an assigned protection factor and you're
5 at that 301 level, you should be at 25, which is within
6 that moderate health -- of a moderate risk to health
7 range.

8 And even when you're at 500, you're down to
9 50, and you're within the unhealthy for sensitive
10 groups. And I'm talking micrograms per cubic meter.
11 That's when you've got 10.

12 But when you don't have fit testing, and so --
13 oh, God. I'm going to get into math. Okay. But when
14 you are looking at this assigned protection factor of
15 10, what you are looking at is the 95th or 5 percent,
16 whichever way you want to turn your numbers around --
17 into a 5 percent of people. Only less than 5 percent of
18 people should get that 10, okay.

19 But when you don't fit test, that comparable
20 number in a number of studies sponsored by NIOSH and
21 other researchers is that at least 5 percent of people
22 may not even get 3. If we then apply that 3, that
23 simulated workplace protection factor of 3 to the
24 numbers of micrograms per cubic meters in this chart, if
25 we are at 500, we have only lowered people's exposure to

1 the unhealthy level -- or not even to that level; to the
2 very unhealthy level.

3 So for that reason, I commend you for setting
4 that level of required use at 300 because I think you
5 should be aiming at 101, but for whatever reason, you at
6 least have to get people down to unhealthy for sensitive
7 groups, and that requires triggering in your fit
8 testing, because all that fit testing does, it doesn't
9 improve the performance of any respirator. It doesn't
10 make a respirator a better filter. What it improves is
11 the relationship between the user and the respirator so
12 that the user is getting the full protection available
13 from that respirator.

14 And if you're not requiring fit testing,
15 you're not getting a protection factor of 10. And when
16 you're up at 300, you need that protection factor.

17 So I really commend you for making that
18 decision. I realize it was a politically difficult
19 decision for you to make given the levels that some of
20 the PM2.5 has reached, so thank you very much.

21 (Speaker change.)

22 PODIUM SPEAKER: Hello. Brian Heramb with
23 San Diego Gas and Electric again.

24 And I want to address section -- subsection
25 F3B, and I think this reflects comments that have been

1 made by other presenters as well as other utilities.
2 It's our belief that establishing mandatory respirator
3 use at any AQI -- much less an AQI of 300 -- is
4 impractical and infeasible and should not be implemented
5 as a part of this emergency rule-making.

6 It may be useful under a permanent rule-making
7 after there is much more study done. I will illustrate
8 several reasons.

9 First of all, it's impractical. It takes from
10 the standpoint of medical evaluation even if just
11 medical questionnaires are used for the employees, they
12 have to be reviewed by a qualified medical professional
13 or a PLHCP proficient or other licensed healthcare
14 professional.

15 In addition, fit testing typically takes at
16 least ten minutes per person.

17 So as mentioned, we have hundreds or thousands
18 of workers that may be affected by this. So it will
19 effectively stretch the limits of any possible resources
20 that we have, whether we are contracting or trying to do
21 in-house or having the employees, you know, fit tested
22 by some other vendors.

23 So fit testing and medical evaluation are
24 extremely difficult to do even on an annual basis. We
25 dedicate large amounts of resources for people who are

1 engaged in that or that have exposures already.

2 Second, it is also impractical from the
3 standpoint of facial hair. If you expect that
4 compliance with the respirator standard is going to be
5 required, most of the workers that we have in the field
6 have facial hair or many of them do, I will say.

7 If you take a snapshot of the Giants or the
8 Oakland A's baseball teams, that's what most of our
9 workers look like, so it's not just five o'clock shadow.
10 It's beards, goatees, whatever. And it is a lifestyle
11 choice that many of them made. For instance, recently,
12 we did a special training for first-class welders. All
13 seven students have full facial hair, okay.

14 So it means that we would be requiring
15 employees to shave in occupations that they normally
16 don't. For line crews, for electrical workers that
17 typically don't have a requirement for respirators, that
18 would be an unusual requirement and would be very
19 difficult to enforce, especially under short term.

20 Another reason, and I think this is one from a
21 professional standpoint that we have to take less
22 seriously, is that the AQI, as we mentioned before,
23 being used as an emergency trigger for requiring
24 different types of protections is useful. But when you
25 come to establishing a standard that's as complete with

1 requirements as respiratory protection is, it really
2 needs to be based on an occupational exposure limit that
3 has been studied with a complete risk assessment as the
4 permissible exposure limits have been.

5 So, in fact, if we look at the difference, for
6 instance, it will illustrate how dramatic the
7 occupational exposure limit is for particulate versus
8 what the AQI is. Currently, the closest PEL is
9 particulates not otherwise regulated, the respirable
10 dust fractions, and that's currently set at 5 milligrams
11 per cubic meter. The highest AQI of 500 is an
12 incredible level of .5 milligrams per cubic meter, ten
13 times lower than the current PEL for respirable dust.
14 There is a difference because PM2.5 is different than
15 the side aerodynamic diameters that are used in the
16 respirable PEL, which includes everything less than
17 10 micrograms or 10 micrometers, but it does illustrate
18 for you that the AQI is so much lower than the PEL that
19 it's clear that a risk assessment needs to be performed
20 to establish really what is a hazardous level that
21 employees can be exposed to and for what duration.

22 Are we establishing it also an acute exposure
23 or is it for chronic exposure or over working lifetime?

24 So those two reasons in practicality and lack
25 of an established reliable occupational exposure limit

1 for workers, it's difficult to see establishing an
2 emergency standard that relies on respirators and AQI.

3 The third one I want to bring up, especially
4 for electrical workers. It was mentioned in passing.
5 Currently the standard that was recently implemented for
6 electrical protection from electric arcs. It prohibits
7 the use of any type of protective gear unless it is
8 arc-rated at the level that the worker may be exposed
9 to. And during these operations in wildfires where we
10 have energized equipment, there may be situations where
11 employees are exposed in a well-above two calories per
12 square centimeter, the effective arc-flash boundary.
13 And there are no respirators that I'm aware of that are
14 arc-rated.

15 So it may be possible to have some tested and
16 determine if, in fact, they are actually providing
17 protection from arc flash, but currently, the rubber or
18 other materials haven't been tested to determine if they
19 could ignite or if they could melt, drip, and stick to
20 the skin and cause further injury.

21 So for these three types of reasons;
22 practicality, lack of established risk assessment for
23 occupational exposure, and a conflict with requirements
24 for the arc rating, our recommendation is to withhold
25 mandatory respirator use until a current rule-making can

1 address and resolve any conflict.

2 Thank you.

3 (Speaker change.)

4 PODIUM SPEAKER: Hi. Ann Katon from
5 California Rural Legal Assistance Foundation.

6 And I just wanted to second Deborah Gold's
7 comments that when the air levels are hazardous for
8 PM2.5 and fit testing should be required, the time spent
9 is well worth the protection needed for workers.

10 Also I really support the way you've drafted
11 this where you have to have the respirators available
12 for workers, not just provide them upon request for
13 voluntary use, is very important especially for
14 low-income workers like agricultural workers who
15 wouldn't ask if -- you know, wouldn't make the request.
16 They wouldn't feel comfortable for it.

17 And for that same reason, I think you should
18 keep in the language that says that workers should be
19 encouraged to wear the respirator because you're also
20 providing training about reporting symptoms, so that
21 should counteract if a worker is having difficulty
22 wearing a respirator. Thank you.

23 (Speaker change.)

24 PODIUM SPEAKER: Hello again. James
25 Mackenzie. Southern California Edison.

1 Two main points in this section. The first is
2 echoing the comments made by Ms. Treanor related to the
3 hierarchy of controls. What we do as a company submit
4 wholeheartedly to the hierarchy of the controls for the
5 vast majority of situations.

6 In this situation, though, when you look at
7 the word "feasible" in there, it can create some
8 potential outrageous outliers. And I use an example of
9 we probably do have the resources to put a HEPA filter
10 tent over the power line while we are working on the
11 repairs, but I think we would all agree that that's
12 probably a little bit outrageous to do that and it
13 wouldn't make sense to do.

14 In many situations, the employees are doing
15 inspections where they're moving from site to site to
16 site, so those administrative or engineering controls to
17 the point made earlier when -- by the time they're
18 constructed or put in place, we've moved on to the next
19 section.

20 So I believe in line with the (inaudible) this
21 is a situation where looking at -- in the letter I
22 submitted, use the word "practicable" instead of
23 "feasible" where we should look at it. I think it makes
24 sense for us to look at what we can do from an
25 engineering standpoint, what we can do from an

1 administrative standpoint, and from there go to the
2 personal protective equipment. But to call it feasible,
3 to ensure that it's feasible creates challenges like I
4 mentioned.

5 The other point, I did want to concur with
6 Mr. Heramb's comments related to mandatory requirements.
7 We have concerns with that. And some of them lie in
8 that we don't know what we don't know yet. I think
9 there have been many comments on both sides around how
10 the AQI and using it for this function is new.

11 And so I would submit that going to what we
12 are doing, which is what I call the mandatory provision
13 of voluntary respirator use, that is a big step level
14 increase for the state and probably a fantastic step for
15 the emergency regulation, and gives us an opportunity to
16 understand how we apply the AQIs, and how we do this
17 work with these provisions in mind when we go into
18 permanent rule-making. Otherwise we can end up tying
19 hands on the mandatory provisions, and based on that
20 understanding what implications could lie behind the
21 scenes on that, some of which are concerns around -- I'm
22 not personally aware of an N95 mask that passed an
23 arc-rating test, so that puts us in a quandary of do we
24 have to choose which section to violate, essentially,
25 and I don't think any one of us want to be put in that

1 type of position.

2 Thank you.

3 (Speaker change.)

4 PODIUM SPEAKER: My name is Abad Leyva. I'm
5 from the Street Level Health Project and the Oakland
6 Workers Collective.

7 We are doing this because we try to save
8 lives, and saving lives is always practical. Fit
9 testing, which should be mostly required. Have
10 respirators available for workers at all times mostly
11 required as well. And training must be done in the
12 language that the worker speaks as well.

13 Thank you.

14 (Speaker change.)

15 PODIUM SPEAKER: I'm Bill Taylor with PASMA,
16 and I just wanted to echo the concerns about the
17 arc-rating of the N95s. I think during these emergency
18 operations for the electrical workers, they should be
19 exempt from that because that arc flash is a concern.

20 But another major concern about F3A, because
21 you're tying the providing respirators to the AQI, I
22 think it's not going to be at least as effective as a
23 federal standard. I have a concern about that it might
24 be a problem down the line.

25 (Speaker change.)

1 PODIUM SPEAKER: Good afternoon. Kevin Bland
2 again.

3 I want to bring us back to where we started a
4 little bit. Remember this is an emergency regulation
5 for an emergency situation. And now that kind of drifts
6 into a nonemergency situation where we are talking about
7 this hierarchy of controls, what we could do in a
8 standard hazard analysis environment. If we have a
9 project that we are going to do in six months from now,
10 we are going to look at the engineering controls. Okay.
11 Can we do something there feasible? No. Can we do
12 something administratively? No. Okay. We have to use
13 the respirator as a last resort in those normal common
14 instances.

15 In this case, we don't have that kind of time
16 in these situations. So the idea -- my understanding of
17 this -- is before, there wasn't a way or a provision for
18 us to handle the emergency feasibly as an employer in
19 California for our employees in California.

20 We have that opportunity now, but if we make
21 it so complicated or resort back to the nonemergency
22 rules, we are taking a step backwards because we're not
23 going to be able to comply with it. And we are not
24 going to be able to accomplish that.

25 So I urge people to take a step back, think

1 about what we are trying to accomplish here in
2 emergency, give us an opportunity as employers to
3 provide protection, albeit in some cases and maybe not
4 all cases, is it going to be perfect? But it's going to
5 be a hell of a lot better than what we have today and
6 are able to do today under the current regulations, and
7 that have been in place for 20, 30 years.

8 So in this emergency environment, I urge us to
9 take a little bit of a step backwards, look at the big
10 picture, try to get an emergency reg that we can
11 implement in this season that's feasible for the
12 employers to comply with, feasible for the employees to
13 use and benefit from. And then when it comes time for
14 the other rule-making, it gives an opportunity to look
15 more at these levels and look more at some of these
16 things in an environment in which we have had some times
17 to see how does this work, how did this work during this
18 fire season on what we did?

19 So I just want to urge us to take that step
20 back especially on the hierarchy of controls here in
21 section F, because if you really do this the right way,
22 that's a six-month project to go through the engineering
23 controls, administration analysis, and all that. We may
24 only have six minutes. We may only have six hours, so I
25 think we have to take a step back of that.

1 Secondly, I think it is important for those of
2 you that don't know, I may be a talking head here on the
3 employer's side right now, but I had to work for a
4 living before I was an attorney. I was an ironworker
5 out in the field. I grew up on a farm. So I understand
6 what it is like to work with my hands and work outside,
7 and so I'm not taking this just from one side. I
8 understand it. But when I was in the field, I wanted
9 something that, one, I knew was safe for me to use, two,
10 made sense to me, and, three, I wouldn't have to shave
11 my beard for. So the last part was a joke about the
12 beard, but it is an important thing because a lot of us
13 that have beards, Mitch, a lot of you agree with me that
14 beards are important.

15 And in this context, literally, you have to
16 have everybody in the state of California who is an
17 employee clean-shaven when we get to the 300s because we
18 have to be prepared for that, and that is not fair.
19 That's not fair for the employees, that's not fair to
20 me.

21 So anyway, thank you very much.

22 (Speaker change.)

23 PODIUM SPEAKER: Hello again. Rob Moutrie.
24 California Chamber of Commerce.

25 I will try to be brief here. Much of this has

1 been well-covered, so I will skip to the points that I
2 think we can add to.

3 The chamber is very concerned about the
4 hierarchy of being in place for exactly the feasibility
5 reason, as Kevin pointed out, time and feasibility is
6 hard to calculate.

7 So one example that hasn't been brought
8 forward yet that I do want to emphasize is unlike an
9 issue which we are aware of and have time to calculate,
10 wildfires shift quickly. We have changing weather
11 conditions and we have changing severities. And so the
12 feasibility calculation doesn't just need to be done
13 quickly. It also has shifting variables making it that
14 much more difficult to say which one is more feasible in
15 a situation. For that reason, you know, broadly, using
16 respirators is at least predictable on the employer's
17 side, you know, as opposed to the other variables that
18 are hard to calculate. At least then we know where we
19 are going, regardless of where they are set in these
20 variables.

21 For tier one, something that isn't mentioned
22 in this section, the 150 to 300 category. You know, we
23 are absolutely understanding and agreeable with handing
24 out the (inaudible) and handing out the training form
25 with it, as you mentioned appendix A, we think those

1 could come together. And mentioning appendix A here
2 might be something we can do to make that clear.

3 The second tier, we share the concerns of how
4 is the timeline for this to react? It's something we
5 will try to get you in comments by Friday if we can is
6 that has not been discussed is the quickness at which
7 the AQI could rise with a severe fire. So what the
8 feasible for employers to do to get employees ready if
9 we went to 150, and we knew 300 is coming and it is
10 going to be here in a couple of days or some time
11 period, but the speed of that rise doesn't seem to have
12 been considered right now, but we will try to look at
13 that and get back to you by Friday.

14 As to the select groups issue which has come
15 up a couple of times, and since it is probably featured
16 in this section of the regs, we will just briefly deal
17 with it here.

18 Collecting health information about employees
19 to determine if they meet whatever select categories may
20 be, I think we heard asthma and pregnancy and age. Of
21 course, age is not as hard to determine, but asthma or
22 other medical conditions that might create issues that
23 require employers to correct that information or ask
24 employees about it. That's just a minefield that we are
25 hesitant to step into.

1 We all know that that can be a big concern
2 generally and there are a lot of other rules about that.
3 So especially in the emergency regs setting, we are very
4 concerned about writing in employers requesting health
5 information or dealing with individuals, you know,
6 private health conditions as part of our practice.

7 And that's especially true where here, we are
8 already looking at a regulation written around a
9 standard that we haven't used before in this way. We
10 have been talking about AQI versus other standards that
11 have been used in a regulatory context, but we are
12 already putting in emergency reg whereas you mentioned,
13 we are going to be looking at this and revising and
14 considering is it the right way. We already have one
15 new big variable coming in, the use of AQI and how we
16 monitor and communicate. Writing in an additional
17 selected health group variable seems like from our
18 perspective a lot to do on an emergency basis.

19 And that's all I have. Thank you.

20 (Speaker change.)

21 PODIUM SPEAKER: Good afternoon. Brian Little
22 with California Farm Bureau Federation.

23 I think that a lot of you in this room
24 probably live in Sacramento, and you probably remember
25 those handful of days during the Camp Fire when the air

1 quality was 400, and the scale only goes to 500, and
2 there was a lot of conversation going on in those couple
3 of weeks when all that was happening.

4 The Sacramento Metro Fire Department set out
5 to divide some of the stock of N95 respirators they had
6 accumulated the community for the use of the community.
7 That went on for three our four days, maybe a week, I
8 can't remember exactly how long, before the County
9 Department of Public Health told Sacramento Metro Fire
10 to knock it off.

11 And as far as I could tell from the news
12 coverage, the reason they did that was because they were
13 concerned that provision of respirators on those
14 circumstances may have given people a false sense that
15 they were getting more protection than they actually
16 were getting.

17 That struck me at the time, and it still does,
18 as an exercise in allowing the perfect to be the enemy
19 of the good. Some protection has to be better than no
20 protection. I think the simple part of this emergency
21 regulation you're doing here is permitting the use of
22 filtering face piece respirators in appropriate
23 circumstances -- in appropriate emergency circumstances
24 on an emergency basis.

25 There is an awful lot of additional

1 complication in this draft regulation that puts you in a
2 position where you may be allowing the perfect to be the
3 enemy of the good.

4 So I would urge you to consider what really
5 needs to be in this, and perhaps what doesn't need to be
6 in this at least on an emergency basis, and reserve the
7 resolution of a lot of these issues that have been
8 discussed here today for the permanent regulation that
9 we will all be participating in trying to write. That's
10 all.

11 Thank you.

12 AMALIA NEIDHARDT: Okay. So now we are going
13 to open the floor to hear about any comments on
14 feasibility, cost, or any issues related to
15 alternatives. Anything else that we didn't address
16 already? Other issues? Are we all done? You can come
17 to the podium, please.

18 PODIUM SPEAKER: Roxanne Fynboh. California
19 Industrial Hygiene Council.

20 I didn't bring this up before, but since I
21 represent practicing industrial hygienists, I want to
22 bring up a conundrum that we have, and that is that we
23 don't routinely measure exposure to PM2.5. As someone
24 else brought up, we measure exposures to PM10. So we
25 have that data. But if I had to guess, I would say

1 there were a lot of farm workers and a lot of other
2 workers right now that are being exposed to PM2.5 levels
3 that are in excess of the levels that correspond to the
4 AQIs.

5 So that presents a bit of a conundrum for us
6 in terms of evaluating exposures even during an
7 emergency, what part of that is a small exposure, what
8 is always there, which may be, like I said, quite a bit
9 higher than what is presented during a smoke situation.

10 There are also people that work with fire. By
11 that, I mean some winery workers, vineyard workers that
12 have to burn old vines. They were in the rice fields.
13 They do different things where they are all exposed
14 probably to a level in excess of what we are talking
15 about now. So that's just a conundrum that we have.
16 And the good news is it in some way clarifies some of
17 those issues with some additional guidance.

18 AMALIA NEIDHARDT: Well, okay. That's all the
19 comments we have. Thank you very much.

20 (Proceedings concluded at 2:10 p.m.)
21
22
23
24
25

	accomplish (4) 50:23,24;110:24; 111:1	37:5	affects (1) 33:1	28:16;29:3,4,8,23;
§		addition (6) 8:25;11:11;78:23; 79:15;91:20;102:15	afford (1) 96:7	39:24;43:20;45:22;
\$15,000 (1) 76:1	accordance (1) 66:2	additional (3) 115:16;116:25; 118:17	afraid (1) 35:6	46:21;47:19;52:17,
A	according (1) 63:24	address (12) 6:17;11:17;14:19; 27:6;81:21;92:10; 96:3;99:10,19; 101:24;106:1;117:15	afternoon (7) 51:10;81:9;82:23; 87:21;91:25;110:1; 115:21	17,19,21;53:5,9,12,
A1A (4) 12:23;13:16;21:5; 52:10	account (1) 56:24	addressed (1) 63:11	afterwards (1) 82:20	13,13,15,17,21,24;
A1B (2) 6:21;13:16	accounts (1) 56:16	addressing (3) 11:14,15;12:22	ag (1) 53:23	54:11;55:2,7,10,14;
A2 (1) 7:5	accumulated (1) 116:6	adequately (1) 98:11	again (22) 2:17;15:7;16:19,22; 23:14;34:25;57:6,18; 61:25;63:9;66:3; 68:10;70:6;71:3; 76:15;82:1;92:1;97:8; 101:23;106:24;110:2; 112:23	59:4;62:18;71:17;
A2A (1) 40:13	accurate (1) 8:17	adjust (1) 68:3	against (1) 84:13	80:16;106:7;115:25
A2C (3) 21:17;39:14;58:13	achieved (1) 95:5	administration (1) 111:23	age (2) 114:20,21	airline (2) 26:2;54:2
A2E (4) 11:12;38:9;41:25; 56:5	acknowledged (1) 13:8	administrative (6) 35:25;37:13;94:10; 95:15;107:16;108:1	agencies (3) 13:4;52:23;74:18	albeit (1) 111:3
AB1124 (1) 14:16	acre (1) 63:14	administratively (1) 110:12	agency (10) 13:1;16:13;18:1; 49:1;51:25;52:12,19, 20;53:5;70:12	alert (1) 52:21
AB-1124 (2) 33:23;34:9	acres (1) 69:17	administrator (1) 99:15	aggressive (1) 24:23	align (3) 33:5;38:2;71:9
AB-2774 (2) 49:1,20	across (1) 78:17	admit (1) 69:16	aging (2) 30:24;31:14	Allen (1) 3:2
Abad (2) 42:15;109:4	act (2) 8:4;73:7	adoption (1) 72:1	ago (2) 67:10;90:19	Alliance (3) 27:21;71:1,11
abbreviated (1) 44:17	acting (3) 31:8,10;37:16	advance (2) 74:2;87:17	agree (8) 38:4,9;39:9;46:22; 60:10;64:18;107:11; 112:13	allow (10) 14:6;21:17;22:24; 23:3;38:16;40:3;53:4; 55:6;59:5;74:6
ability (4) 20:16;40:2;74:22; 80:19	action (7) 15:24;24:22;25:2; 37:15;66:1;91:4,7	advanced (1) 73:21	agreement (1) 26:21	allowable (1) 96:19
able (21) 3:18;9:16,19;11:17; 20:21;24:11;30:4; 32:23;47:8;48:12; 53:4;54:24;55:10; 59:12;77:23;80:6; 81:4;87:5;110:23,24; 111:6	actions (1) 37:22	advantages (1) 13:25	agriculture (2) 20:5;51:24	allowed (3) 42:6,9;96:16
above (2) 28:15;94:12	active (1) 23:4	adverse (2) 84:8;97:19	ahead (4) 25:15;33:14;82:19; 89:7	allowing (6) 39:9,15;40:9;87:18; 116:18;117:2
absence (2) 9:11;10:4	actively (1) 11:25	adversely (1) 22:25	aimed (1) 12:22	allows (3) 29:15,25;40:8
absolute (1) 47:15	activities (3) 8:2,5;23:19	advised (2) 9:5;28:10	aiming (2) 14:17;101:5	allude (1) 21:23
absolutely (3) 56:5;58:19;113:23	activity (4) 11:13;24:7;56:9; 57:14	advisory (16) 12:24,24,25;13:2,4; 16:12;19:6;26:25; 34:10;52:10;65:21; 66:10;67:2,16;79:12; 96:24	air (39) 7:9;19:2,3,11,15;	almost (3) 34:11;66:4;96:1
abutted (1) 52:16	actual (3) 11:20;23:22;49:21	advocacy (1) 91:15		alone (2) 19:24;41:16
accepted (1) 15:13	actually (25) 7:13;18:23;23:23, 25;24:8,16,17,19,24; 25:1,20,22,24;31:5,7, 8,17,22;59:13;89:15; 90:13,14;93:24; 105:16;116:15	advocate (1) 27:20		along (2) 17:24;28:1
accepting (1) 57:16	add (7) 14:22;18:10;41:25; 69:14;91:17;95:25; 113:2	advocated (1) 71:5		alternate (1) 16:14
accessibility (2) 29:18;89:21	added (1) 13:16	aerodynamic (1) 104:15		alternative (1) 81:3
accessible (1) 89:22	adding (1)	affect (1) 36:1		alternatives (1) 117:15
accompany (1) 38:17		affected (3) 23:1;24:1;102:18		although (1) 7:16

39:4	AQI (86) 11:8;13:24,25; 14:22,22,24;15:1; 16:8,18;17:4,6,8,13, 19;18:9;19:7,18; 21:20;22:14;26:21, 24;27:11;28:6,15,23; 29:19,21,24,24;30:22; 39:16,23;42:24; 43:14;44:6,6,8;45:6; 46:15,20;50:8;58:21; 60:10;61:20;63:8,16, 20;64:17;65:1,7;66:9, 18;67:24;69:18;70:4; 71:14,19,22,24;75:14; 80:7,10;81:2,4,5;83:7, 25;92:25;93:4,6; 94:11;96:23;99:11; 100:2;102:3,3; 103:22;104:8,11,18; 105:2;108:10;109:21; 114:7;115:10,15	20:21 assess (1) 26:6 assessment (4) 76:9;104:3,19; 105:22 assigned (3) 99:20;100:4,14 Assistance (3) 43:8;91:14;106:5 assistant (1) 3:5 associate (2) 54:9;60:8 associating (1) 51:16 Association (11) 18:17;32:10;33:5; 48:2,4,55;23;70:13; 76:16,17;82:24;97:9 associations (1) 61:15 assume (1) 13:5 assuming (2) 36:24;79:7 asthma (8) 10:2;45:24;46:18; 47:2;93:21;97:21; 114:20,21 AT&T (3) 40:24;69:13;78:20 Atlas (1) 58:8 attacks (1) 97:21 attempting (1) 92:1 attention (2) 25:4;38:7 attorney (6) 2:24;20:4;26:18; 35:12;83:23;112:4 attorneys (1) 61:3 attraction (1) 32:16 Attractions (1) 32:9 auspices (1) 62:25 authorities (1) 63:1 availability (1) 82:16 available (14) 13:25;30:6,8;39:17; 44:7;69:25;75:6; 82:12;85:4,16,21; 101:12;106:11; 109:10 avoid (1) 74:7	aware (5) 3:22;52:23;105:13; 108:22;113:9 awareness (1) 13:8 awful (1) 116:25	B	bath (1) 77:6 Bay (1) 74:14 bays (1) 7:14 bear (1) 60:14 beard (2) 112:11,12 beards (3) 103:10;112:13,14 beasts (1) 65:7 became (1) 49:21 become (2) 72:25;91:22 becomes (1) 66:4 begin (3) 8:14;11:2;53:1 beginning (2) 29:14;93:8 begins (1) 54:23 behalf (3) 17:10;32:9;59:24 behind (2) 77:20;108:20 belief (2) 28:18;102:2 believes (1) 45:12 below (1) 17:19 benchmarked (1) 38:25 benefit (1) 111:13 benefits (1) 97:23 BERG (9) 2:6,16,17;6:6;22:3; 62:2,6;79:20;94:7 Berkeley (1) 64:10 Bernstein (6) 8:21;67:7;75:17; 80:3;81:1;93:17 best (11) 3:24;14:23;41:9; 47:15;63:19;74:24; 75:14;78:24,25; 81:21;96:8 Beth (3) 49:2;50:14;73:2 better (6) 34:14;66:12;95:10; 101:10;111:5;116:19 big (10) 15:12;30:23;34:10; 35:7;72:12;80:14;
amounts (1) 102:25 analysis (2) 110:8;111:23 and/or (2) 13:22;21:24 Angeles (1) 30:13 Ann (3) 43:7;91:13;106:4 annual (2) 90:22;102:24 anticipate (1) 93:10 anticipation (1) 67:22 anti-immigrant (1) 84:17 apart (1) 45:7 apologies (2) 73:20;92:17 apologize (1) 82:25 appeals (4) 6:25;7:1,49;8;61:2 appears (2) 9:11;21:17 Appendix (13) 77:2;85:13,16;87:7, 7,10,15,18;92:5,20; 97:4;113:25;114:1 applaud (1) 26:20 applicable (5) 17:7,8;23:15;52:21; 95:2 application (1) 16:4 applies (3) 15:24;16:5,7 apply (8) 7:20;16:11;29:20; 32:20;56:12;99:25; 100:22;108:16 appreciate (4) 43:12;44:19;51:6; 71:3 approach (2) 19:13;74:4 appropriate (9) 8:3;11:4;44:6; 71:15;80:15;95:16, 16;116:22,23 approve (1) 35:23 approved (2) 35:25;37:12 approximately (1) 78:12 April (1) 38:5	AQIs (4) 17:14;74:23; 108:16;118:4 arc (3) 105:17,24;109:19 arc-flash (1) 105:12 arc-rated (4) 40:5,7;105:8,14 arc-rating (2) 108:23;109:17 arcs (1) 105:6 area (15) 38:19;43:22;52:15, 15;53:6,6;58:22;63:2, 14,20;69:4;75:25; 76:7;78:14;81:5 areas (12) 23:4;24:12,15; 25:25;26:6,13;30:18; 32:15;38:24;52:16; 76:2;80:17 arena (1) 20:6 arguably (2) 91:1,9 arguments (1) 50:9 Armstrong (2) 3:3;78:6 around (19) 28:11;38:10,11,22; 39:14;40:12,13,17; 48:24,25;59:20;60:3; 75:13;96:6;98:10; 100:16;108:9,21; 115:8 A's (1) 103:8 assert (1)	AT&T (3) 40:24;69:13;78:20 Atlas (1) 58:8 attacks (1) 97:21 attempting (1) 92:1 attention (2) 25:4;38:7 attorney (6) 2:24;20:4;26:18; 35:12;83:23;112:4 attorneys (1) 61:3 attraction (1) 32:16 Attractions (1) 32:9 auspices (1) 62:25 authorities (1) 63:1 availability (1) 82:16 available (14) 13:25;30:6,8;39:17; 44:7;69:25;75:6; 82:12;85:4,16,21; 101:12;106:11; 109:10 avoid (1) 74:7	background (2) 4:11;14:17 backwards (2) 110:22;111:9 bad (6) 28:17;29:23;53:18; 61:17,18;66:12 badly (1) 24:15 balance (1) 34:3 balanced (1) 69:8 balancing (2) 68:15;73:11 Balmes (3) 64:5;67:11;93:17 bandannas (1) 88:25 Barbara (1) 27:23 barriers (1) 85:9 base (2) 42:25;92:15 baseball (1) 103:8 based (6) 7:3;19:3,9;33:19; 104:2;108:19 baseline (3) 17:14,15,16 basically (3) 4:4;7:12;15:10 basics (1) 66:22 basis (12) 68:21;69:19,25; 70:5;71:8;82:9;87:4; 93:5;102:24;115:18; 116:24;117:6 bastardized (1) 49:10		

108:13;111:9;115:1, 15 Bill (2) 70:11;109:15 bit (12) 7:2;13:18;27:15; 45:1;48:22;52:4; 65:20;107:12;110:4; 111:9;118:5,8 Blanchard-Saiger (1) 55:23 Bland (4) 47:25;51:17;76:15; 110:1 blood (1) 31:15 blowing (2) 19:16;54:3 Board (23) 3:8;10,12;4:18,19; 5:12,14,15;6:25;7:1; 10:23;35:15,18,22; 36:2;37:12;44:16; 47:16;49:8;61:2; 62:16;64:11;94:22 board's (1) 36:4 Bob (4) 8:21;67:7;75:17; 80:3 both (7) 10:8;32:14;44:15; 56:2;88:16;94:6; 108:9 bottom (1) 6:1 boundary (1) 105:12 Bourdon (1) 16:25 box (1) 70:2 breadth (1) 92:22 breaking (1) 79:18 breathe (2) 45:22;46:21 breathing (5) 46:23;47:19;80:16; 88:20;97:21 Brian (6) 21:14;22:8;51:11; 87:21;101:22;115:21 brief (4) 17:3;23:14;76:18; 112:25 briefings (1) 86:19 briefly (2) 32:11;114:16 bring (6) 79:11;92:3;105:3;	110:3;117:20,22 bringing (1) 41:11 brings (1) 74:4 broad (2) 19:14;92:15 broad-based (1) 19:2 broadcast (1) 21:3 broadly (2) 34:12;113:15 Brooks (1) 57:24 brought (2) 113:7;117:24 Bruce (4) 33:4;68:8;73:15; 81:25 Bruce's (1) 54:10 brunt (1) 60:14 build (1) 96:6 building (10) 7:6,20;22:18,25,25; 23:2;59:24;70:18; 71:11;95:11 buildings (7) 7:12;14:14;15:8; 24:16,18;39:11;70:19 bullet (1) 91:3 bumping (1) 72:19 bunch (1) 48:20 burden (1) 39:19 Bureau (4) 51:11,13;87:22; 115:22 burn (1) 118:12 burned (2) 20:14;23:9 burns (1) 23:23 business (4) 24:20,21;78:17; 95:6 businesses (1) 20:5 busy (1) 45:16	90:23 Cal (5) 12:12;17:1;21:6; 50:13;92:1 Cal/OSHA (11) 2:18,24;3:1,6;4:18; 35:12;43:12;44:15; 61:3;87:6;99:3 Cal/OSHA's (1) 99:4 Calabasas (1) 30:18 calculate (3) 113:6,9,18 calculation (1) 113:12 CalFed (1) 71:12 California (50) 4:14;8:23;10:10; 13:5;15:16,18;18:17; 19:24;20:4;30:12; 32:9;37:25;39:2;43:8; 44:13;46:19;48:2,3; 50:25;51:11,15; 52:15;55:23,25; 59:25;64:11;65:11; 71:1;72:18;74:3; 75:22;76:15,23;80:4; 82:24;86:25;87:22; 89:13;90:5;91:13; 96:11;97:9;106:5,25; 110:19,19;112:16,24; 115:22;117:18 California's (1) 18:19 call (4) 17:25;69:22;108:2, 12 called (2) 65:24;77:2 calling (1) 67:1 calories (1) 105:11 CALPASC (3) 33:5;68:8;81:25 came (5) 23:10;44:21;48:7; 57:7;64:16 Camp (4) 41:2;56:17;64:15; 115:25 can (77) 2:6,10;6:1,2;7:5; 8:17,18,19;18:10; 19:12,14;22:2,4; 23:22,25;25:5,15; 26:2;27:13;30:3; 33:19,22;35:4;36:12, 19;41:18;43:20,21; 46:10,11;47:15; 48:16;50:16,19,20,23;	51:4;58:23,25;61:19; 62:4;65:4,17;66:13; 68:11,14,20,23;71:16, 22;73:13;77:7;81:18, 19;82:9,19;87:5; 90:25;92:6,25;93:7; 95:10,19;104:21; 105:25;107:7,24,25; 108:18;110:11,11; 111:10;113:2;114:2, 5;115:1;117:16 Canada (1) 23:10 cancer (1) 88:22 capable (2) 95:5;96:7 cardiovascular (2) 10:1,3 care (5) 41:18;61:11;70:7; 75:5;94:5 carry (3) 42:5;70:2;79:22 cars (1) 45:25 Carter (1) 9:6 case (4) 9:14;15:7;51:24; 110:15 cases (3) 95:19;111:3,4 catch (2) 14:7;73:20 categories (2) 76:4;114:19 category (1) 113:22 caught (1) 36:22 Cause (9) 27:15,22;30:21; 43:9;71:10;84:23; 88:15;89:20;105:20 causes (1) 46:8 CCR (1) 94:24 CDPH (1) 10:11 CEA (1) 21:15 cease (1) 55:15 CEJA (3) 71:1,10;72:1 cell (5) 20:14,15;41:10; 43:22;44:2 centered (1) 30:13 Centers (2)	9:1;30:14 centimeter (1) 105:12 Central (3) 27:21,22;74:5 certain (6) 19:16;25:4;26:13; 27:8;65:21;97:1 Certainly (3) 25:14;37:14;75:4 Certified (1) 22:8 cetera (2) 33:20;93:22 challenge (4) 10:20;18:4;21:18; 58:4 challenges (4) 17:5;40:3,6;108:3 challenging (1) 62:14 Chamber (6) 12:12;50:13;72:18; 92:1;112:24;113:3 Chambers' (1) 21:7 Chan (1) 3:11 chance (2) 6:9;37:4 change (65) 10:13;12:10;15:14; 16:24;18:15;20:2; 21:12,25;22:6;26:16; 27:17;29:1;30:9;32:7; 33:3;36:6;40:21; 42:14;43:6;44:11; 47:24;51:8;53:20; 55:21;57:22;59:22; 64:3;65:10;67:6;68:7; 69:12;70:10,24; 72:16;74:12;75:16; 76:12;78:4;81:8,24; 82:22;83:21;84:22; 86:14,24;87:20; 88:14;89:5,10;90:3; 91:12,24;93:13; 94:16;96:10;97:7; 99:1;101:21;106:3, 23;109:3,14,25; 112:22;115:20 changed (5) 16:1;21:8;60:19; 61:1;89:25 changes (4) 12:7;35:24;65:19; 68:13 changing (7) 16:5;27:5;29:8; 37:5;90:6;113:10,11 characters (1) 32:18 charge (1)
	C			
	cable (1) 20:24 cadence (1)			

9:2 charged (1) 79:1 chart (1) 100:24 check (11) 20:16,21;30:1; 43:20,20,21;52:22; 92:25;93:4,6,9 checked (1) 46:17 checking (3) 13:24;14:3;53:12 checks (2) 52:19;93:7 chest (1) 97:21 chief (2) 2:18;3:5 choice (1) 103:11 choose (2) 88:18;108:24 Chris (4) 2:22;4:24,25;5:2 Christina (1) 3:9 chronic (5) 9:24;10:2;31:15; 88:17;104:23 CIH (1) 17:23 CIHC (1) 15:17 circumstance (1) 88:5 circumstances (5) 25:11;94:24; 116:14,23,23 citing (1) 16:18 citizens (1) 80:6 clarification (8) 17:22;18:12;38:11; 39:7;56:14;59:19; 80:25;93:1 clarifications (1) 32:25 clarifies (1) 118:16 clarify (11) 3:24;15:25;18:12; 21:16;22:1;40:19; 63:9;64:17;70:22; 79:4;94:4 clarifying (2) 3:23;93:9 clarity (5) 32:13,20,22;93:19, 24 classical (1) 48:11	classroom (1) 90:20 classroom-type (1) 90:10 Clay (1) 75:8 clean (2) 30:25;31:21 clean-shaven (1) 112:17 clear (16) 10:1,3;12:14;15:7; 18:11;19:23;29:15; 42:2;63:7;65:18; 76:19;77:18;86:18; 93:2;104:19;114:2 clearly (6) 13:6;29:23;33:23; 61:19,21;73:13 climate (2) 84:14,16 clogged (1) 91:22 close (4) 51:10;54:21;57:8; 58:15 closed (5) 7:13,13,13;15:8,9 closely (1) 52:16 closest (3) 39:22,22;104:8 clothing (1) 40:6 coalition (2) 12:12;72:18 Coast (2) 27:21,22 coastal (1) 39:21 coincide (1) 58:10 colleague (1) 93:17 colleagues (1) 27:15 Collecting (1) 114:18 Collective (2) 42:17;109:6 collusive (1) 8:1 color (1) 72:11 combine (1) 62:4 combined (3) 43:24;49:23;97:13 comfortable (1) 106:16 coming (5) 33:14;39:24;56:19; 114:9;115:15	command (1) 26:13 commanders (1) 26:9 commend (3) 99:4;101:3,17 comment (22) 8:15;10:23;13:11; 18:6;21:4;27:19; 36:17;37:1;39:13; 40:11,12;48:7;54:10; 57:4;63:5;71:2;73:19; 75:19;80:15;81:11; 92:9;94:14 commenter (2) 12:6;37:11 commenters (1) 46:15 comments (72) 3:17,17,19;4:1,3,6, 22,22;6:9,12,14,18, 20;7:25;8:8;14:10,11; 15:19,21;16:19,23; 17:2,3;18:14;22:10; 29:19;30:20;33:6; 35:16,21;38:3,8,10; 39:14;40:16;43:9; 46:15;50:14,17; 51:19;60:8,9,19;62:3, 6;63:12;65:14,19; 67:14;70:14;71:4,9; 72:6,21;74:10,17; 78:9;79:24;85:12; 91:15;92:13;94:8; 96:14,21;101:25; 106:7;107:2;108:6,9; 114:5;117:13;118:19 Commerce (4) 12:12;72:18;92:1; 112:24 committed (1) 10:17 committee (4) 34:11;65:22;66:10; 67:2 common (2) 31:3;110:13 communicate (6) 33:10,13,18;44:1; 84:24;115:16 communicates (1) 98:15 communicating (1) 81:17 communication (17) 25:21;26:9;33:9; 73:7;79:24;81:12,16; 82:9,12,18,19;83:16, 24;84:1,11;86:11; 96:4 communications (7) 11:15,19,25;41:6,8; 42:1;83:2	communities (1) 64:13 community (9) 11:21;12:18;17:9; 18:5;29:22;46:22; 86:8;116:6,6 companies (4) 10:16;11:12;23:7; 39:2 company (2) 41:13;107:3 comparable (1) 100:19 complete (3) 79:6;103:25;104:3 completed (1) 95:12 completely (1) 50:5 complex (1) 24:20 complexes (1) 22:17 complexities (1) 66:11 compliance (7) 38:1;51:4;68:25; 69:8;83:5;86:5;103:4 compliant (2) 12:16;68:18 complicated (1) 110:21 complication (1) 117:1 compliment (1) 52:6 comply (11) 14:7;32:23,24; 48:12,17;50:12,19; 51:3;83:19;110:23; 111:12 component (1) 97:3 concentration (2) 17:19;67:1 concentrations (1) 39:16 concepts (1) 34:9 concern (16) 9:8;12:18,19;13:21; 19:1;52:13;56:2; 57:20;58:2;83:3;84:5; 92:6;109:19,20,23; 115:1 concerned (9) 13:6;54:5;58:24; 81:13;97:10,12; 113:3;115:4;116:13 concerns (10) 14:1;32:11;52:9; 90:8;92:10,11;108:7, 21;109:16;114:3	concluded (1) 118:20 conclusion (1) 45:17 concur (1) 108:5 condition (3) 25:13;47:3;98:3 conditions (17) 10:3;16:10,11; 21:21,25;27:9;28:8, 25;29:5,15,16;59:17; 71:7;83:9;113:11; 114:22;115:6 conflict (2) 105:23;106:1 confused (3) 39:24;54:4;56:13 confusion (2) 40:15;70:22 connotation (1) 86:7 consider (12) 12:6;15:23;23:16; 32:2,24;46:5,24; 59:11;67:3;82:17; 85:7;117:4 consideration (4) 16:17;17:16;20:10; 57:5 considerations (1) 57:10 considered (7) 6:15;27:5;28:6,21; 66:10;96:18;114:12 considering (2) 49:16;115:14 consistent (2) 15:1;82:9 consistently (1) 13:23 constantly (1) 14:3 constructed (1) 107:18 constructing (1) 99:9 construction (9) 30:25;33:12;35:1; 59:24;68:11,17;69:1; 82:1;84:16 consult (1) 53:4 contact (2) 2:10;10:7 containing (1) 9:23 content (2) 90:13;91:1 contents (1) 87:8 context (11) 48:10;49:6;50:2;
---	---	--	--	---

70:17;73:9,10;95:1,2, 17;112:15;115:11 contextual (1) 86:2 continue (2) 79:21;80:22 continuing (1) 31:9 continuous (1) 9:17 continuously (1) 9:15 contracting (1) 102:20 Contractor (1) 33:5 Contractors (6) 48:2,3,4;76:16,17; 82:5 Control (9) 9:1;52:17;53:13,25; 62:25;94:8;95:20,24; 96:15 controlling (1) 12:1 controls (14) 66:17;94:10;95:4, 15,19;96:1,18;107:3, 4,16;110:7,10;111:20, 23 conundrum (3) 117:22;118:5,15 conversation (1) 116:2 conversations (1) 71:4 cooperative (1) 45:3 coordination (1) 26:8 coordinator (1) 33:13 copy (1) 3:16 Cora (1) 3:5 Corey (11) 2:24;6:7;12:3;15:6; 25:9;26:14;35:10,12; 36:21;79:4;85:11 correspond (1) 118:3 corresponding (1) 84:5 corridors (1) 24:14 cost (1) 117:14 Council (9) 15:17,17;48:2; 59:25;65:12;76:16; 87:1;96:12;117:19 counteract (1)	106:21 country (2) 18:21,22 county (16) 8:22,22;9:1;20:9, 14,24;21:1;27:23; 53:19,23;67:8,8; 75:18;80:4,4;116:8 couple (10) 2:7;33:7;38:10; 39:13;71:21;89:16; 92:4;114:10,15;116:2 course (5) 14:16;37:17;53:20; 99:6;114:21 court (5) 4:8;8:12,18;12:15; 36:22 cover (4) 11:24;16:22;87:9, 14 coverage (3) 20:15;76:1;116:12 covered (3) 54:2;66:1;69:24 covering (1) 63:14 covers (1) 53:6 create (2) 107:7;114:22 creates (8) 14:12;91:4,6;97:14; 98:3,14,23;108:3 creating (1) 97:18 creation (1) 85:8 crew (3) 69:18;70:1,5 crews (20) 24:18;25:2;26:10, 11;33:16;63:12,14; 68:12;69:2,6,15,16; 70:3;74:22;75:12; 78:16;81:15;82:2; 96:5;103:16 critical (2) 64:6;75:7 CRLA (1) 4:14 crucial (1) 5:9 CSP (1) 17:23 cubic (4) 100:10,24;104:11, 12 cumbersome (1) 78:23 current (9) 40:15;45:10;48:8, 12;49:13;81:21;	104:13;105:25;111:6 currently (6) 48:10;83:4;104:8, 10;105:5,17 curricula (1) 86:5 curriculum (1) 86:12 customers (1) 23:25 cutoffs (1) 20:25 D D1 (1) 79:25 D2 (1) 79:25 daily (5) 69:24;70:7;71:7; 89:6,8 damage (1) 46:8 damaged (3) 24:1,15,23 danger (1) 61:10 dangerous (3) 19:22;53:9;58:22 dangers (1) 37:21 data (8) 39:19;66:11,12,12, 13,21;78:25;117:25 date (1) 36:12 David (1) 74:14 Davis (1) 57:15 day (30) 21:24,25;22:19; 28:13;29:2,9,17;30:1, 3,14,16,23;31:13,19; 32:2;34:19;42:17,19; 43:9;48:13;55:15; 60:16;69:3;74:19,23; 75:1,13;84:16;89:13; 93:3 days (8) 5:8;20:18;21:2; 53:12,14;114:10; 115:25;116:7 day-to-day (1) 28:10 deadlines (1) 5:23 Deakins (1) 48:1 deal (5) 11:2;13:14;58:12; 92:9;114:16	dealing (4) 49:5;50:7;95:23; 115:5 deals (2) 52:19,20 death (1) 46:11 Deborah (2) 99:2;106:6 December (1) 4:16 decimals (1) 100:1 decision (3) 7:1;101:18,19 decisions (2) 61:4;66:13 declared (1) 39:8 dedicate (1) 102:25 de-energized (1) 38:18 de-energizing (1) 24:8 deeper (1) 46:5 define (1) 47:10 defined (1) 8:5 definitely (1) 60:4 definition (6) 62:22;67:12;68:2; 70:19;90:9;93:20 definitions (6) 7:17;62:7,15,17; 70:15;72:23 delayed (1) 35:7 deleted (2) 62:19;63:3 deleting (3) 70:15,21;72:22 delivered (2) 23:6,9 deliveries (2) 23:11,12 demonstrate (3) 17:19;39:15;40:9 Department (5) 3:3;40:25;42:5; 116:4,9 depending (1) 92:20 depends (1) 58:2 deputy (1) 2:18 described (1) 8:6 designated (1)	76:2 designation (1) 26:4 designed (1) 45:8 desirability (1) 67:15 destroyed (2) 24:16;41:10 detail (1) 45:9 detailed (1) 37:2 determination (1) 66:25 determine (8) 19:14;59:6;80:10, 22;105:16,18;114:19, 21 determined (1) 17:23 determining (1) 11:6 devastation (1) 18:22 develop (3) 87:6,17;95:8 developed (1) 17:6 development (1) 44:8 device (1) 80:19 devices (1) 59:10 diabetes (1) 31:15 dialogue (2) 2:15;83:13 diameters (1) 104:15 dibble (1) 67:9 Diego (8) 5:17;22:9;38:19; 53:13,14,19,23; 101:23 difference (3) 88:24;104:5,14 differences (1) 99:7 different (18) 5:22;17:6,13,14; 18:1;19:5,8;24:14; 36:11;57:11;59:17, 18;61:6;63:18;65:7; 103:24;104:14; 118:13 difficult (13) 9:19;19:17;51:3; 55:4;67:16;69:17; 74:24;75:14;101:18; 102:24;103:19;105:1;
--	---	---	--	---

113:14 difficulties (1) 97:21 difficulty (4) 21:8;29:1;88:20; 106:21 dime (1) 50:11 DIR (1) 3:2 direct (1) 93:3 directed (1) 21:5 directing (1) 85:8 direction (1) 54:3 directly (1) 58:7 disaster (2) 56:23;57:5 disclosure (1) 91:18 discounting (1) 9:9 discourage (1) 88:9 discovery (1) 14:6 discuss (5) 2:19;3:21;4:12; 7:18;11:13 discussed (2) 114:6;117:8 discussion (1) 92:5 discussions (1) 55:5 Disease (5) 9:1;31:12;46:9,10; 64:20 diseases (1) 31:15 disparity (1) 34:13 disposable (1) 88:6 disposed (1) 88:10 disposing (1) 88:9 distance (1) 80:8 distributed (2) 28:2;74:7 distributing (1) 28:16 distribution (1) 53:8 district (9) 19:4,5,6,8,9,19; 53:13,25;74:15	districts (2) 29:3;52:18 divide (1) 116:5 division (6) 27:4;50:20;81:9; 85:7,25;94:22 division's (1) 26:20 doctor (2) 73:19,21 document (3) 40:16;59:10;67:12 documented (1) 87:15 documents (1) 85:19 dollars (2) 59:1,2 domestic (6) 30:15,17,24;31:20; 32:2;89:14 done (20) 10:7;15:19;25:15; 43:12;61:5;62:25; 63:1;74:21;75:3;82:8, 18,20;87:3;96:7;99:5, 8;102:7;109:11; 113:12;117:16 doors (2) 7:14;15:11 dose (1) 11:6 DOSH (1) 11:7 down (12) 8:19;13:18;20:14; 33:15;60:12;75:8; 77:14;83:1;86:12; 100:8;101:6;109:24 downed (5) 11:15,15;24:2,12; 38:18 Dr (7) 8:21;67:7,11;75:17; 81:1;93:17,17 draft (13) 3:15,22;4:21;6:12, 15,19;12:7,19;15:12; 21:16;26:20;40:15; 117:1 drafted (2) 13:22;106:10 drafting (1) 48:25 dramatic (1) 104:6 draw (1) 38:7 drifts (1) 110:5 drip (1) 105:19	driving (1) 23:12 due (2) 28:9;88:25 duration (3) 11:5;22:16;104:21 during (30) 8:25;9:4,15;18:10; 21:9;23:22;24:22; 27:24;28:14;29:22; 31:2;37:6;41:1;48:25; 59:16;63:8,9;64:14; 71:21,23;74:23; 75:13;84:10,18; 105:9;109:17;111:17; 115:25;118:6,9 dusk (2) 69:4;82:8 dust (8) 9:22;28:9;35:3; 38:23;89:1;90:16; 104:10,13 dynamic (2) 20:12;21:10 dynamics (1) 20:23	echoed (1) 78:19 echoing (1) 107:2 economic (1) 95:8 Economy (2) 27:22;72:5 Edison (3) 37:25;90:5;106:25 edits (2) 13:13;14:19 education (3) 28:3;30:12;89:12 effect (11) 6:2,3;10:5;12:21; 14:9;15:3;35:24;36:7; 37:11,13;79:17 effective (12) 6:22;49:21;62:18; 66:17;70:15;77:24; 87:13;90:14;91:2; 93:24;105:12;109:22 effectively (4) 7:8,10;55:14; 102:19 effects (7) 14:8;58:7;84:3,6; 88:17,20,22 efficiency (2) 9:13;62:18 efforts (1) 26:20 eight (1) 46:3 either (9) 13:2,4;23:4;24:19; 26:10;35:20;55:17; 74:24;79:24 elderly (1) 93:21 elders (1) 31:12 Electric (11) 22:9,12;23:19,24; 24:9;25:2;38:19;42:1; 78:6;101:23;105:6 electrical (4) 103:16;105:4,6; 109:18 elements (1) 89:20 elevation (1) 59:16 eliminate (4) 16:21;35:2;70:21; 95:20 eliminates (1) 73:4 elimination (1) 9:8 Elizabeth (9) 10:15;22:22;33:6;	62:9;69:14;72:22; 81:10;85:23;94:17 Elizabeth's (1) 96:13 else (8) 6:5;19:9;42:19; 50:16;61:21;98:20; 117:15,24 e-mail (1) 94:19 emergencies (2) 68:24;96:17 emergency (89) 4:15,19,25;5:6,20; 6:2;7:23,24;8:4;9:10; 10:24;11:1,14,23,23; 15:23;16:1;21:3; 23:17;25:5,8;31:22; 33:10,15,25;34:19,22; 35:5,14;36:3,6,7,11, 23;37:7;38:11;39:8; 43:23;44:3;47:6;48:9, 9;50:10;56:6,7;63:23, 23;65:15,24;66:1; 67:19;71:15;73:16; 76:6;77:8,21;79:6,7, 17;82:21;86:10;87:3, 13,19;91:4,6;94:23; 95:2,10,21;102:5; 103:23;105:2;108:15; 109:17;110:4,5,18; 111:2,8,10;115:3,12, 18;116:20,23,24; 117:6;118:7 emphasize (6) 71:13,17;89:19; 92:21;93:19;113:8 emphasized (1) 85:1 employ (1) 54:14 employed (4) 67:17,20;68:5;76:7 employee (20) 7:9;13:23;25:12; 26:11;32:17;53:23; 56:3;63:7;68:22; 73:23;75:21;76:4,25; 78:24;83:11,14; 87:11,25;98:8;112:17 employees (66) 6:23;11:8,24,25; 14:2;16:15;18:5;25:1, 12,17,19;32:12,14,20; 33:1;39:5;48:18; 50:21,25;56:20; 62:17;63:17,17;66:1, 23;68:5,20;69:10; 73:3,8,12;75:5,7; 76:21;77:16,21; 79:25;81:14,18;82:7, 9;83:8;84:25;85:19; 86:13,15;87:13;88:8;
E				
		E1 (1) 88:16 E3 (1) 94:3 E-4 (1) 92:23 E7 (1) 88:23 E8 (2) 87:23;89:4 earlier (13) 8:8;15:8;17:3; 38:21;40:12;41:8; 48:7;50:14;63:5;65:7; 67:10;83:1;107:17 early (1) 20:14 ears (1) 26:12 ease (1) 27:13 easier (1) 85:18 easiest (1) 29:12 East (1) 74:14 easy (1) 93:3 echo (10) 14:10;46:14;65:6; 70:14;72:21;73:1; 74:16;92:13;93:16; 109:16		

<p>92:25;94:9;96:15,25; 97:16;98:18;102:11, 21;103:15;104:21; 105:11;107:14; 110:19;111:12; 112:19;114:8,18,24 employee's (1) 85:5 employer (39) 12:17;13:22;14:3,5; 17:18,25;21:17; 39:15,20;40:9;45:11; 48:11;50:6;52:14,19, 25;53:4,18;54:4; 55:15;66:14;68:21; 74:1,24;76:25;83:9, 14;84:24;87:5,9,11, 17;88:2;91:9;97:15, 25;98:11,15;110:18 employers (52) 14:6;18:2;29:12; 30:2,5;37:14,20; 43:25;45:14;47:1; 48:16;50:2,19,25; 51:15,24;61:10,13,14, 14,15,17,18,23;65:17; 69:2,7;73:2,11;75:4; 77:20;81:18,19; 82:17;84:4,7;85:18; 89:6;90:21;92:6,15, 18,24;95:7,7,13;98:4; 111:2,12;114:8,23; 115:4 employer's (5) 34:6;84:1;91:19; 112:3;113:16 employes (1) 80:1 employment (1) 67:21 enable (2) 24:13;80:20 enables (1) 80:9 enacted (1) 37:8 enclosed (7) 7:6,6,12,20;23:1; 95:8,11 encourage (7) 6:13;27:4;84:8; 95:13;97:15;98:11,18 encouraged (2) 55:5;106:19 encouragement (1) 98:22 encouraging (4) 79:25;83:8;84:7; 97:25 end (4) 31:18;74:10;91:20; 108:18 endure (1)</p>	<p>71:6 enemy (2) 116:18;117:3 energized (3) 24:3,13;105:10 enforce (2) 50:20;103:19 enforcement (3) 20:19;21:4;50:3 Eng (1) 70:25 engaged (4) 11:14;25:1;56:8; 103:1 engineer (4) 2:22,25;3:7,11 engineering (9) 95:4,15,20,24;96:1; 107:16,25;110:10; 111:22 enormous (1) 78:16 enough (3) 28:6;54:21;92:8 enshrined (1) 73:5 ensure (3) 29:11;61:9;108:3 entails (1) 33:17 entire (3) 24:19;26:5;73:15 entirety (1) 62:19 entities (1) 68:11 enviromental (2) 64:8,9 environment (8) 15:2;40:7,14;58:10; 67:24;110:8;111:8,16 environmental (5) 15:1;40:23;64:7; 71:1;72:13 environments (1) 62:20 EPA (2) 80:9,20 EPA's (1) 80:5 episodic (1) 9:17 equal (1) 55:9 equipment (9) 23:6;24:9;39:22; 41:12;58:25;59:10; 94:23;105:10;108:2 equipped (1) 24:1 ER (1) 31:18 ERIC (9)</p>	<p>2:6,16,17;6:6;22:3; 62:2,6;79:20;94:7 Erin (1) 32:8 eruptions (1) 9:5 esoteric (1) 7:2 especially (11) 27:9;67:17;71:5; 84:14;97:13;103:19; 105:3;106:13;111:20; 115:3,7 essential (1) 11:6 essentially (5) 22:19;45:14;87:7,9; 108:24 establish (2) 83:6;104:20 established (2) 104:25;105:22 establishing (5) 22:15;102:2; 103:25;104:22;105:1 esteemed (1) 73:19 estimate (1) 80:6 et (2) 33:20;93:22 evacuate (1) 24:13 evacuating (3) 56:12,17,25 evacuation (5) 7:25;20:19;56:4,7; 57:14 evals (1) 73:18 evaluate (4) 54:19,24;55:17; 56:22 evaluating (1) 118:6 evaluation (8) 63:1;67:22;68:4; 76:9;97:17;99:18; 102:10,23 evaluations (4) 73:23;74:8;75:21; 77:10 even (18) 3:22;18:24;24:10; 29:14;31:20;42:21; 43:23;44:2;50:12; 78:1,1;98:9;100:8,22; 101:1;102:10,24; 118:6 evening (1) 20:17 event (5) 18:10;84:2,10,18;</p>	<p>95:24 events (6) 62:24;63:8,10; 78:13;81:21;84:20 everybody (10) 2:6,7,17;51:6; 58:16;60:2;62:3; 64:25;82:14;112:16 everyone (5) 2:9,12;47:13;65:1; 76:19 everywhere (1) 75:9 evidence (4) 9:11,17,25;10:4 exacerbations (2) 10:1,2 exactly (10) 32:22;33:1;45:19; 47:9,10,14;93:23; 94:4;113:4;116:8 example (7) 20:24;41:2;53:11; 66:16;81:4;107:8; 113:7 examples (1) 64:1 excavate (1) 24:24 exceed (2) 39:16;67:24 excellent (2) 99:6,8 exception (5) 11:8;15:8;39:10; 40:13;56:11 excess (3) 55:7;118:3,14 exchange (1) 49:22 exchanging (1) 82:4 excited (1) 58:3 exclude (2) 10:5;62:23 exclusively (1) 14:19 executive (1) 3:9 exempt (2) 56:7;109:19 exemption (4) 8:2;17:18;18:6; 23:18 exemptions (1) 7:19 exercise (1) 116:18 existing (2) 10:2;31:14 exists (1) 49:23</p>	<p>expect (5) 29:16;52:1;59:16; 63:7;103:3 expectations (1) 91:8 expected (1) 29:8 expects (1) 51:25 expedite (1) 87:18 experience (5) 8:25;20:9;31:4; 37:8;57:12 experienced (3) 18:21;58:7,15 experiences (1) 22:12 experiencing (1) 88:19 expiration (1) 36:12 explain (2) 18:4;51:24 explaining (1) 16:9 explains (1) 77:2 explanatory (1) 52:7 explicit (1) 89:3 exposed (22) 6:23;9:4,14,15; 11:7,8;14:16;16:15; 18:9;19:20,24;45:18; 60:5;63:8;66:2;96:25; 97:24;104:21;105:8, 11;118:2,13 exposing (1) 88:21 exposure (33) 7:9;11:5;22:16; 27:2;28:3,9;45:12; 47:20;62:8;64:13; 66:18,20;67:2;68:6; 77:1,5,9;78:2;84:6; 88:21;89:1;96:15; 97:20;100:25;104:2, 4,7,22,23,25;105:23; 117:23;118:7 exposures (9) 8:25;18:8;63:5; 68:10;78:10;94:9; 103:1;117:24;118:6 expounded (2) 52:4,6 express (1) 62:12 extended (1) 36:12 extra (1) 25:21</p>
--	--	--	--	---

<p>extremely (3) 10:22;59:12;102:24</p> <p>eyes (3) 26:12;61:20,21</p> <hr/> <p style="text-align: center;">F</p> <hr/> <p>F3 (1) 97:13</p> <p>F3A (2) 98:18;109:20</p> <p>F3B (1) 101:25</p> <p>face (4) 12:16;88:5,10; 116:22</p> <p>facial (6) 35:2;68:19;88:24; 103:3,6,13</p> <p>facilitate (1) 85:20</p> <p>facilities (1) 11:16</p> <p>facility (1) 70:18</p> <p>fact (9) 16:18;20:13,22; 45:7;51:21,22;53:18; 104:5;105:16</p> <p>factor (7) 13:7;99:20;100:4, 14,23;101:15,16</p> <p>fair (4) 47:1;112:18,19,19</p> <p>fall (1) 56:9</p> <p>false (1) 116:14</p> <p>familiar (1) 59:14</p> <p>fantastic (1) 108:14</p> <p>far (5) 3:17;7:8;70:20; 98:21;116:11</p> <p>farm (10) 27:25;51:11,13; 54:20;71:6;87:22; 88:25;112:5;115:22; 118:1</p> <p>fast (7) 12:16;33:15;34:10; 35:8;51:12;64:9; 71:16</p> <p>feasibility (11) 12:19;14:1;73:4,6; 75:20;95:1,4;113:4,5, 12;117:14</p> <p>feasible (16) 20:22;73:18;74:3,7; 77:24;94:10;95:3; 107:7,23;108:2,3; 110:11;111:11,12;</p>	<p>113:14;114:8</p> <p>feasibly (3) 68:14;73:13;110:18</p> <p>Feather (3) 56:18;57:16,18</p> <p>featured (1) 114:15</p> <p>federal (5) 13:4;16:13;52:12, 23;109:23</p> <p>Federation (6) 4:14;44:14;51:11; 60:10;87:22;115:22</p> <p>feed (1) 93:7</p> <p>feel (6) 4:2;12:6;26:23; 27:13;98:8;106:16</p> <p>feels (1) 64:23</p> <p>few (9) 5:8;6:17;23:18; 47:16;49:3;50:16; 53:11;63:25;67:10</p> <p>field (6) 43:21;44:1;90:15; 103:5;112:5,8</p> <p>fields (1) 118:12</p> <p>fight (1) 76:6</p> <p>fighting (1) 57:1</p> <p>figure (3) 34:17;53:25;54:1</p> <p>filter (6) 15:10,10;55:15; 88:10;101:10;107:9</p> <p>filtered (1) 40:18</p> <p>filtering (2) 88:5;116:22</p> <p>filters (1) 40:17</p> <p>filtration (5) 7:18,19,22;62:19; 70:15</p> <p>final (2) 40:11;72:15</p> <p>finality (1) 33:20</p> <p>Finally (2) 7:23;60:21</p> <p>find (3) 68:1;76:10;81:5</p> <p>finding (2) 10:24;62:16</p> <p>finds (1) 52:21</p> <p>fine (4) 19:16;76:18;89:2; 98:13</p> <p>finest (1)</p>	<p>18:19</p> <p>finish (1) 60:21</p> <p>fire (40) 9:9;24:15;27:24; 34:16,25;41:2,2,20; 42:6,9,10,11,12,20, 21;46:13;54:23;55:2, 18;56:17,18;57:1,8; 58:7,8,8,11;59:17; 62:25;64:15;73:23; 76:4;78:13;95:23; 111:18;114:7;115:25; 116:4,9;118:10</p> <p>firebreak (1) 18:23</p> <p>firefighter (1) 57:1</p> <p>firefighters (2) 41:5;64:12</p> <p>fires (10) 9:10;13:6;18:23; 20:10;21:10;30:17, 20;31:2,8;71:21</p> <p>firework (1) 69:22</p> <p>firing (1) 23:23</p> <p>first (28) 3:20;10:23;12:23; 17:21;18:8;23:21; 26:7,20;31:1,8,10; 33:6;38:2,9,17;41:1; 42:3,20;44:15;60:1; 65:23;72:21;84:9,13; 87:14,19;102:9;107:1</p> <p>first-class (1) 103:12</p> <p>fit (24) 54:19,25;55:17; 67:22;68:4,19;73:17, 22;74:9;75:20;76:9; 77:10;99:18,20; 100:12,19;101:7,8,14; 102:15,21,23;106:8; 109:8</p> <p>five (5) 4:1;8:9;10:18; 53:22;103:9</p> <p>fix (2) 75:9,10</p> <p>fixed (1) 74:20</p> <p>fixing (1) 75:13</p> <p>flag (1) 13:19</p> <p>flash (2) 105:17;109:19</p> <p>flexibility (3) 27:2;92:14,19</p> <p>floor (3) 58:16;59:15;117:13</p>	<p>flow (1) 50:16</p> <p>flowchart (4) 5:3,6,8,22</p> <p>flowing (1) 74:19</p> <p>focus (3) 14:18;26:19;78:14</p> <p>focused (7) 11:1;20:18;21:3; 25:3;34:14;72:24; 82:14</p> <p>folks (5) 10:5;27:9,13;31:12; 57:2</p> <p>follow (3) 11:13;65:4;75:19</p> <p>following (2) 12:21;64:14</p> <p>followup (1) 9:2</p> <p>food (1) 72:3</p> <p>foot (1) 59:16</p> <p>forced (1) 45:22</p> <p>forces (1) 78:14</p> <p>forecasting (1) 28:25</p> <p>forgot (1) 92:16</p> <p>forgotten (1) 80:14</p> <p>form (7) 24:8;77:2;83:10,12; 84:25;87:12;113:24</p> <p>formal (1) 90:21</p> <p>format (1) 15:20</p> <p>forth (4) 22:20;76:7;78:8; 82:4</p> <p>Forum (1) 62:11</p> <p>forward (6) 20:11;47:10,22; 48:18;72:15;113:8</p> <p>found (1) 76:14</p> <p>Foundation (4) 43:8,13;91:14; 106:5</p> <p>four (6) 9:16;21:2;26:11; 30:14;38:7;116:7</p> <p>four-hour (1) 34:23</p> <p>four-year (2) 9:2,7</p> <p>fractions (1)</p>	<p>104:10</p> <p>frame (1) 39:11</p> <p>Framers (1) 48:3</p> <p>Framing (2) 48:2;76:15</p> <p>frankly (1) 72:2</p> <p>free (3) 4:2;9:23;12:6</p> <p>frequently (3) 32:17,19;58:12</p> <p>Fresh (1) 39:24</p> <p>Friday (7) 4:3;6:13;41:3;57:4, 19;114:5,13</p> <p>Friedman (13) 2:24;6:7;10:19; 12:3;15:6;25:9;26:14; 35:10,12,36;21;48:7; 79:4;85:11</p> <p>front (4) 49:7,8;56:1,24</p> <p>fruit (1) 58:18</p> <p>full (5) 54:17;99:13,22; 101:12;103:13</p> <p>full-time (1) 34:18</p> <p>fully (1) 85:16</p> <p>function (2) 46:7;108:10</p> <p>further (4) 21:6;35:23;39:6; 105:20</p> <p>future (2) 2:10;28:25</p> <p>Fynboh (5) 15:16;65:11;86:25; 96:11;117:18</p> <hr/> <p style="text-align: center;">G</p> <hr/> <p>Gail (1) 55:22</p> <p>Gas (16) 22:9,12;23:19,24; 24:17,17,18,19;26:1; 38:19,20;42:1;78:6; 86:11;96:3;101:23</p> <p>gave (1) 64:14</p> <p>gear (2) 88:19;105:7</p> <p>general (7) 18:6;28:7;44:24; 64:21;69:4;72:10; 80:11</p> <p>generally (2)</p>
---	---	--	---	--

47:5;115:2 gentleman (2) 78:20;92:16 geographic (1) 53:6 geography (1) 53:10 gets (3) 5:20;34:2,11 Gherga (1) 3:5 Giants (1) 103:7 given (8) 31:11;47:2;55:1; 84:14;92:21;98:6; 101:19;116:14 gives (3) 27:2;108:15;111:14 giving (2) 64:1;98:21 Glen (1) 60:25 goatees (1) 103:10 God (2) 10:9;100:13 goes (6) 6:2;35:25;46:5; 47:15;60:17;116:1 Gold (1) 99:2 Gold's (1) 106:6 Good (41) 10:14;12:4,11; 15:15;18:16;20:3; 22:7;26:17;27:18; 30:10;32:8;33:4; 38:21;39:11;43:7; 44:12;47:25;51:10, 12,12;53:15,21,22; 55:22;57:23;59:23; 61:15;67:3;68:9; 70:11;78:13;81:9; 82:23;87:21;88:7; 91:25;110:1;115:21; 116:19;117:3;118:16 govern (1) 37:18 government (2) 16:13;52:12 Grape (4) 18:17;57:24;82:24; 97:9 grapes (1) 18:18 gratitude (1) 62:13 great (7) 8:11;12:9;43:13; 44:20,22;50:24;52:6 greater (9)	11:8;18:9;26:25; 27:12;63:8;64:20; 71:5;83:25;93:21 grew (1) 112:5 group (12) 10:9,16;27:8;28:21; 67:13,13,25;68:2,3; 76:11;92:14;115:17 groups (12) 30:23;31:12;32:5; 41:18;67:17;69:20; 92:11;93:20,22; 100:10;101:7;114:14 grower (1) 58:14 Growers (6) 18:18,18,25;57:25; 82:25;97:9 Guerrero (1) 32:8 guess (2) 56:13;117:25 guidance (4) 49:18;66:19;97:23; 118:17 guidelines (1) 71:3 guy (1) 80:14 guys (2) 58:4;70:7	23:4;33:19;50:11; 77:17 happened (4) 20:10;21:10;45:19; 49:7 happening (4) 20:12;41:17;69:5; 116:3 happens (7) 42:21,21;45:4;54:3; 55:12;73:14;98:2 harassment (1) 84:12 hard (13) 19:14;34:24;43:16; 45:5,5;60:6;61:11,11, 25;62:13;113:6,18; 114:21 harder (1) 50:12 hardships (1) 71:7 harm (2) 47:12;61:4 harmful (10) 18:8;29:4;45:12; 46:22;47:19;62:8; 63:4;68:10;78:10; 94:9 harvest (1) 58:10 harvesting (1) 59:18 HASP (1) 69:23 hats (1) 64:5 hazard (13) 9:24;11:20;26:4,6; 40:10;44:25;45:3,18; 46:5;49:21,23;95:20; 110:8 hazardous (5) 66:25;80:11,11; 104:20;106:7 hazards (6) 9:6,21,25;12:1; 37:19;86:17 head (1) 112:2 headquarters (1) 63:22 Health (40) 3:8,10,12;5:12;7:1; 8:22;10:17;27:9;28:4; 32:12;40:23;42:16, 23;43:17;58:1,3; 62:11;64:8,9,10;67:8; 69:23;71:25;75:18; 80:4;84:3,5,5,20; 86:16;88:17,22; 100:6,6;109:5; 114:18;115:4,6,17;	116:9 healthcare (7) 55:25;56:8,12,17, 21;57:7;102:13 healthy (3) 59:6;72:7;97:11 hear (3) 50:8;51:7;117:13 heard (9) 29:19;34:6;40:12; 41:8;55:5;73:5;74:17; 93:18;114:20 heart (3) 31:12;46:9;64:19 heat (3) 21:25;34:19;44:3 Helens (3) 9:5,15,20 hell (1) 111:5 Hello (10) 42:15;64:4;72:17; 74:13;85:23;89:11; 90:4;101:22;106:24; 112:23 help (8) 3:23,24;61:16; 65:14,15;70:21; 80:23;93:10 helpful (5) 14:20;17:17,24; 40:10;94:2 helping (1) 84:19 helps (2) 40:19;61:9 HEPA (2) 40:17;107:9 Heramb (3) 22:8;38:19;101:22 Heramb's (1) 108:6 here's (1) 90:17 hesitant (1) 114:25 hey (4) 77:20;82:3;83:11; 98:4 Hi (4) 16:25;70:25;99:2; 106:4 hierarchy (7) 95:18;96:17;107:3, 4;110:7;111:20;113:4 high (8) 12:1;31:15;40:5; 60:11;62:17;71:24; 76:3,8 higher (4) 9:13;39:23;49:17; 118:9 highest (1)	104:11 highly (2) 9:4,21 high-risk (2) 75:25;76:4 hill (1) 56:19 history (3) 38:23;48:23;80:21 hits (1) 55:18 holding (1) 10:21 home (3) 24:20,20,20 Homeland (2) 41:1;42:5 homeowners (1) 75:24 homes (4) 30:25;31:7,9;57:2 hone (1) 30:22 hoops (1) 77:25 Hope (1) 51:6 hopefully (5) 57:3;83:3;92:8; 95:25;97:3 hoping (1) 56:13 hospital (5) 46:11;55:23;56:18; 57:7,16 hospitals (1) 57:12 host (2) 14:12;77:10 hour (6) 11:9;22:23;23:3,16; 34:11;39:11 hours (6) 14:9;20:15;28:13, 18;46:3;111:24 Howell (1) 58:19 hundred (2) 59:2;68:12 hundreds (1) 102:17 hurt (1) 60:6 Hygiene (5) 15:17;65:12;87:1; 96:12;117:19 Hygienist (1) 22:8 hygienists (2) 15:18;117:21
	H			
	habits (1) 12:14 hair (5) 35:2;68:20;103:3,6, 13 half (2) 49:24;54:19 hand (3) 68:20;77:7;90:16 handcuffed (1) 77:22 handed (1) 83:10 handful (1) 115:25 handing (2) 113:23,24 handle (2) 90:24;110:18 handout (1) 5:3 handouts (1) 2:8 hands (2) 108:19;112:6 hands-on (1) 89:23 happen (4)			
				I

ID (1) 69:5	15,16;49:19;50:15; 59:12;73:14;88:3;	indicating (1) 39:23	74:21;107:15	58:16
idea (9) 20:20;45:16;49:10; 58:23;59:4,7;60:22; 88:8;110:16	89:23;90:1;91:17; 98:4;106:13;112:1, 12,14	indigenous (1) 85:2	instance (8) 22:23;23:5;24:12; 34:9;54:11;68:17; 103:11;104:6	invasive (1) 77:16
identification (6) 18:8;26:4;62:8; 63:4;68:10;78:10	impossibility (1) 48:14	individual (2) 13:13;67:23	instances (2) 31:6;110:14	investigator (1) 9:2
identified (2) 87:14,19	impossible (9) 48:11;54:18,24; 55:16;63:25;70:5,6; 77:11;78:19	individually (1) 26:10	instead (3) 81:16;83:7;107:22	invite (2) 85:25;94:13
identify (3) 24:5;63:20;95:14	impractical (3) 102:4,9;103:2	individuals (4) 11:14;64:22;67:20; 115:5	Institute (2) 30:11;89:12	involved (3) 11:25;23:21;47:13
IDEPSCA (2) 30:11;89:12	impressed (1) 44:21	individuals' (1) 28:4	instituting (1) 63:2	ironworker (1) 112:4
ignite (1) 105:19	improve (1) 101:9	indoor (8) 11:2;14:12;32:15; 39:7;40:14;57:13; 62:20;72:23	instructed (1) 86:15	irrespirable (1) 9:4
ignored (1) 42:24	improves (1) 101:10	indoors (2) 22:19;32:18	instruction (3) 86:14;90:7,25	irritated (1) 46:6
IHP (1) 69:22	improving (1) 10:17	Industrial (9) 3:3;15:16,18;22:8; 65:12;87:1;96:12; 117:19,21	instructions (1) 86:20	issuance (1) 49:5
Illness (1) 86:4	inappropriate (1) 9:11	industries (1) 35:1	insurance (2) 75:24;76:1	issue (14) 7:5;10:22;18:25; 25:19;41:1;48:23; 50:7;55:24;62:14; 83:16;99:10,19; 113:9;114:14
illustrate (3) 102:7;104:6,17	inaudible (13) 31:24;36:16,18; 50:13;65:20;70:20, 22;72:25;74:23;85:9; 92:19;107:20;113:24	industry (1) 54:14	intend (1) 85:16	issued (4) 16:12;27:1;52:10; 96:24
imagine (3) 10:8;13:22;28:17	incident (4) 26:8,13;27:8;91:2	infeasible (1) 102:4	intended (1) 88:6	issues (20) 6:18;14:12;22:15; 42:23;52:20,21,24; 53:10;54:12;56:24; 60:20;63:11;65:21; 73:4,6;114:22;117:7, 14,16;118:17
immediate (2) 25:7;26:3	incidents (2) 20:12;58:11	inform (3) 25:17;83:8;84:4	intensely (1) 9:3	issuing (1) 13:1
immediately (2) 24:6;79:8	include (9) 11:7;68:1;88:16,23; 89:5;91:18;93:20; 97:2,4	informal (1) 5:10	intent (2) 22:3;49:10	item (2) 68:9;79:23
immensely (1) 28:17	included (3) 12:8;13:20;92:12	information (20) 14:7;20:13;21:2,9; 27:14;35:11;57:3,19; 77:3;81:19,20;82:4, 10;85:17;87:15;98:6, 21;114:18,23;115:5	intention (3) 7:11;15:13;85:17	J
immigrant (1) 84:15	includes (4) 16:11;74:1;94:9; 104:16	inform (3) 87:4	interested (1) 80:1	James (4) 37:24;90:4;92:17; 106:24
immigrants (1) 72:10	including (5) 13:3;41:5;42:1; 70:1;78:9	informal (1) 5:10	interesting (3) 51:20;59:7,20	January (1) 49:20
imminent (1) 11:20	inconsistencies (1) 17:5	information (20) 14:7;20:13;21:2,9; 27:14;35:11;57:3,19; 77:3;81:19,20;82:4, 10;85:17;87:15;98:6, 21;114:18,23;115:5	interfere (1) 25:20	Jay (2) 40:22;69:13
impact (2) 28:4;54:21	incorporate (1) 80:13	inform (3) 87:4	interim (1) 59:9	Jeremy (1) 59:23
impacted (1) 30:19	incorporates (1) 15:20	informing (3) 79:25;81:14,17	internet (1) 43:22	job (7) 43:3;69:6;78:21; 82:2;86:19;99:6,8
implement (4) 59:13;83:6;87:5; 111:11	increase (2) 71:22;108:14	in-house (1) 102:21	interpret (1) 66:21	jobs (1) 21:22
implementation (3) 37:9;54:11;55:13	increased (2) 84:10,18	initial (1) 14:8	interpreted (3) 83:5,18;90:10	John (2) 64:5;93:17
implemented (4) 65:17;66:17;102:4; 105:5	incredible (1) 104:12	injured (1) 60:15	INTERPRETER (2) 36:19,22	join (2) 50:13,14
implications (2) 38:20;108:20	indeed (1) 79:13	Injury (2) 86:4;105:20	interpreters (1) 60:2	joke (1) 112:11
implies (1) 100:2	index (5) 19:3,11;50:8;58:21; 59:4	injustice (1) 72:13	interviews (1) 64:14	judged (1) 9:3
importance (5) 25:6;73:8,15;89:19; 97:23	indicates (1) 87:24	in-person (1) 89:17	into (22) 4:11;5:20,24;6:2; 13:24;31:9;42:6,11; 45:7,9,25;47:9;56:24; 59:15;65:3;72:20; 79:8;100:13,17; 108:17;110:6;114:25	July (4)
important (31) 10:22;11:16;13:10; 18:25;26:23;33:8,9, 18;38:12;42:24,25; 43:3,19,25;46:4;48:6,		input (6) 5:9,14,18;6:11; 67:3;79:23	introduce (1) 2:20	
		inside (2) 15:11;99:24	introduced (1) 34:5	
		inspections (2)	inundated (1)	

5:15,19;35:15,22 jumping (2) 78:21;89:7 June (3) 35:5,19,19 Justice (1) 71:1	lack (9) 9:12;20:13;21:2; 32:13,19,22;49:9; 104:24;105:22 landscapers (1) 27:9 landscaping (2) 31:1;84:15 language (17) 7:15;11:22;12:5,8; 17:17,21;38:4,6; 44:20;45:2,10;49:22; 60:3;61:8;85:5; 106:18;109:12 languages (2) 38:14;85:2 large (3) 53:8;90:21;102:25 largest (1) 51:14 last (11) 12:6;19:23,24; 37:10;45:20;48:20; 53:11;71:21;92:23; 110:13;112:11 later (9) 6:10;7:17;14:13; 16:9,19,23;29:17; 40:16;74:11 latinas (1) 72:9 launch (1) 79:8 law (5) 20:19;21:4;35:25; 37:13;48:9 leader (1) 93:7 leads (1) 52:1 leak (2) 26:1;75:8 leaking (2) 24:1,17 leaks (1) 74:20 leap (1) 69:20 learned (2) 75:24;90:20 least (15) 23:20;39:3;41:18; 49:18;51:21;80:20; 89:5;95:24;100:21; 101:6;102:16;109:22; 113:16,18;117:6 leave (6) 41:15,16,17;46:20; 47:11;66:25 leaving (1) 69:24 Legal (3) 43:8;91:14;106:5	legislate (1) 61:17 legislature (2) 33:24;49:1 length (2) 52:5,7 less (5) 94:12;100:17; 102:3;103:21;104:16 lesser (1) 49:13 lesson (1) 48:23 letter (5) 13:14;38:5,14;42:5; 107:21 letter's (1) 14:11 level (21) 19:7,14,22,25; 22:16;39:9;42:16; 45:9;99:25;100:2,5; 101:1,1,2,4;104:12, 20;105:8;108:13; 109:5;118:14 levels (10) 43:20;55:7;83:6; 84:5;89:22;101:19; 106:7;111:15;118:2,3 Leyva (2) 42:15;109:4 licensed (1) 102:13 lie (2) 108:7,20 life (1) 24:7 lifelong (1) 84:3 lifesaving (2) 7:24;56:6 lifespans (1) 88:11 lifestyle (1) 103:10 lifetime (1) 104:23 light (1) 65:23 likelihood (1) 29:4 likely (3) 9:6;45:17;97:20 limit (8) 8:8;10:18;23:15; 54:8;67:2;104:2,7,25 limitations (1) 97:23 limited (7) 3:25;7:8,10;12:25; 36:8;85:3,5 limits (2) 102:19;104:4	line (10) 4:6;8:11;13:13; 42:3;56:25;65:25; 103:16;107:10,20; 109:24 lines (4) 11:15;17:24;38:18; 56:1 listen (1) 64:16 lists (1) 83:9 literacy (2) 85:3;89:22 literally (2) 70:6;112:15 little (21) 7:2;8:17;13:18; 16:9;17:21;27:15; 34:17;35:10;41:24; 45:1;48:22;51:11; 52:4;54:4;56:13; 73:10;87:21;107:12; 110:4;111:9;115:21 live (3) 75:25;93:6;115:24 lives (2) 109:8,8 living (2) 28:8;112:4 local (15) 11:20;16:12;18:1,4; 21:18,19,23;29:3,3; 52:11,19;53:5;58:14, 24,25 location (13) 12:25,25;13:2; 19:15,17;54:22;55:1; 57:7;63:18;69:24; 78:20,22;92:25 locations (4) 17:15;19:5;53:14, 15 loggers (3) 9:3,14,20 logistical (1) 90:8 logistically (4) 54:18,24;55:16; 90:23 logistics (2) 78:18;90:13 long (5) 60:17;64:13;74:5; 94:21;116:8 longer (4) 37:1;79:14;94:19; 95:12 longstanding (2) 38:23;39:2 long-term (3) 9:12;10:4;88:22 look (22)	12:15;19:13,19; 36:10;45:6;48:24; 54:1;58:21;68:25; 72:15;92:7;95:14; 103:9;104:5;107:6, 23,24;110:10;111:9, 14,15;114:12 looked (1) 53:15 looking (16) 7:15;12:19;19:2; 44:25;52:9;53:19,24; 56:10;76:13;87:23; 96:23;100:14,15; 107:21;115:8,13 looks (3) 5:4;44:20;46:17 Los (1) 30:13 lost (2) 49:24;73:10 lot (36) 3:17;7:7;23:6;31:3, 21,22;34:9;46:5,8; 47:11;54:13,17;56:2; 57:9;59:3;65:13;69:1; 70:18,20,21;73:4; 75:4;79:12;84:14; 90:7;111:5;112:12, 13;115:2,18,23;116:2, 25;117:7;118:1,1 loud (1) 72:20 louder (1) 36:20 low (1) 84:15 lower (5) 25:6;50:9,11; 104:13,18 lowered (5) 28:19,22;46:16; 71:20;100:25 lowering (2) 67:15;83:25 low-income (2) 72:9;106:14 Lucia (3) 27:20;84:23;88:15 lunch (3) 74:11;79:18,19 lung (4) 10:2;31:12;46:7; 64:19 lungs (1) 47:21
K				
Karen (1) 20:4 Katon (3) 43:7;91:13;106:4 keep (14) 3:25;6:7;8:17; 12:14;24:11;47:6,13, 22;74:11,19;77:15; 88:3;96:5;106:18 keeping (1) 71:23 Kevin (8) 47:25;52:4;60:23, 25;74:5;76:15;110:1; 113:5 key (1) 77:4 kick (1) 32:22 kind (16) 5:4;7:22;15:10; 18:4;27:8,14;32:16; 34:18,23;43:24; 44:24;49:9;75:7;92:5; 110:5,15 Kirkham (2) 2:22;5:2 knew (2) 112:9;114:9 knock (1) 116:10 knowing (1) 29:1 knowledge (1) 88:18 knows (1) 50:6 Kumani (1) 3:3				
L				
Labor (6) 4:14;28:12,18; 43:16;44:13;60:9 laboratories (1) 22:20 laborers (10) 30:15,17,24;31:13, 20;42:17,20;43:10; 84:16;89:13 labors (1) 32:2				
			M	
			Mackenzie (3) 37:24;90:4;106:25 magnitude (1) 34:23	

<p>main (2) 65:5;107:1</p> <p>maintain (1) 88:1</p> <p>major (1) 109:20</p> <p>majority (5) 30:23,23;72:9,10; 107:5</p> <p>makes (5) 15:1;19:23;44:24; 99:12;107:23</p> <p>making (10) 7:8;18:5;23:12; 73:12;75:23;82:14; 89:21,24;101:17; 113:13</p> <p>Malibu (1) 30:18</p> <p>manage (1) 70:18</p> <p>Management (6) 17:2,11;19:4;52:18; 53:5;70:13</p> <p>manager (2) 37:25;40:23</p> <p>managers (1) 70:18</p> <p>mandatory (7) 94:12;99:11;102:2; 105:25;108:6,12,19</p> <p>manual (2) 28:12,18</p> <p>many (30) 3:19;14:14;27:24; 28:7;31:6,10,13,14, 14,35:1;39:3,4;47:2; 55:25;61:13,14,18,18; 66:11,14;69:7;85:1,3; 88:18;92:10,10; 103:6,11;107:14; 108:9</p> <p>March (2) 4:17,17</p> <p>marks (1) 67:10</p> <p>Marquez (5) 26:18;27:20;83:22; 84:23;88:15</p> <p>Maryrose (1) 3:11</p> <p>mask (12) 41:21,23;57:9; 64:24,25;82:8,16; 89:5;90:16;98:16; 99:14;108:22</p> <p>masks (19) 28:2,16;30:8;35:4; 38:23;39:5;40:8; 41:14;45:25;46:2; 56:21;58:17;61:11; 68:20;69:4;74:6; 88:24;89:7,18</p>	<p>Massachusetts (1) 13:6</p> <p>match (1) 45:2</p> <p>materials (4) 56:10;85:6,8; 105:18</p> <p>math (1) 100:13</p> <p>matter (5) 9:4,22;24:10;55:12; 68:16</p> <p>matters (1) 68:6</p> <p>Mavieri (1) 3:7</p> <p>maximum (1) 79:9</p> <p>may (49) 3:22;5:12,19;6:23; 7:22;16:15;19:6,8; 22:17,20;23:12,16; 29:16;35:18;36:16; 47:21,21;48:24;49:2, 18;51:13,13;52:23,23, 23;58:11;59:7;63:7; 67:25;68:5;75:12; 79:13;88:18;92:20; 95:12;98:8;100:22; 102:6,18;105:8,10,15; 111:23,24;112:2; 114:19;116:14;117:2; 118:8</p> <p>maybe (8) 17:16;23:13;39:10; 58:9;74:24;90:18; 111:3;116:7</p> <p>mean (14) 4:21;12:16;18:3,5, 11;31:6;34:10;46:10, 11;52:18;68:14;70:5; 94:4;118:11</p> <p>meaning (2) 86:2,7</p> <p>means (8) 7:8;76:24;78:2; 84:11;86:22;93:25; 95:8;103:14</p> <p>meant (2) 52:6;65:14</p> <p>measure (6) 19:2,12;33:24;45:5; 117:23,24</p> <p>measurement (1) 26:22</p> <p>measurements (1) 71:17</p> <p>measures (3) 29:13;86:16;97:2</p> <p>mechanical (1) 40:18</p> <p>mechanically (1) 40:14</p>	<p>mechanism (2) 14:2;84:12</p> <p>media (1) 64:14</p> <p>medical (18) 44:3;68:4;73:17,22; 74:8;75:21;76:9; 77:10;80:21;94:3; 97:17;98:2;99:18; 102:10,11,12,23; 114:22</p> <p>medically (3) 54:19,24;55:17</p> <p>medicine (4) 64:6,7;93:15;94:6</p> <p>meet (2) 7:21;114:19</p> <p>meeting (12) 4:9,18;5:12;6:10; 8:24;10:21,23;34:23; 35:15,17,18,22</p> <p>meetings (3) 2:10;35:21;86:19</p> <p>melt (1) 105:19</p> <p>member (7) 11:12;33:13;64:11; 67:13,25;68:2;76:11</p> <p>members (3) 63:13,24;95:24</p> <p>membership (1) 92:22</p> <p>mentioned (18) 28:20;38:20;42:19; 63:13;69:15;71:10; 72:6;73:15;89:20; 90:8;95:3;102:17; 103:22;105:4;108:4; 113:21,25;115:12</p> <p>mentioning (2) 30:21;114:1</p> <p>mere (2) 49:11,14</p> <p>MERV (6) 14:13;15:10;40:17; 62:18;70:16,19</p> <p>Mesa (1) 53:18</p> <p>meter (4) 24:21;100:10; 104:11,12</p> <p>meters (1) 100:24</p> <p>method (1) 17:23</p> <p>methodologies (1) 80:17</p> <p>methodology (4) 58:12;80:5,9,20</p> <p>Metro (2) 116:4,9</p> <p>Mexico (1) 85:3</p>	<p>mic (1) 72:20</p> <p>Michael (3) 18:17;82:23;97:8</p> <p>micrograms (3) 100:10,24;104:17</p> <p>micrometers (1) 104:17</p> <p>middle (2) 35:5;82:20</p> <p>might (17) 17:17;23:15;25:12; 26:24;54:4,21;59:17; 73:23;74:2;80:20; 83:15;84:6;89:2; 100:3;109:23;114:2, 22</p> <p>migrants (1) 42:18</p> <p>Mike (1) 3:7</p> <p>mile (1) 68:12</p> <p>miles (3) 21:19;54:2;78:12</p> <p>Miller (4) 18:17;21:14;82:24; 97:8</p> <p>milligrams (2) 104:10,12</p> <p>million (5) 46:19,21;54:16,19, 20</p> <p>mind (8) 6:7;9:25;46:14; 47:6,13,22;88:3; 108:17</p> <p>minefield (1) 114:24</p> <p>mineral (1) 9:22</p> <p>minimum (1) 53:7</p> <p>minute (2) 93:5,5</p> <p>minutes (6) 4:1;8:9;10:18; 89:17;102:16;111:24</p> <p>Missing (1) 67:12</p> <p>Mitch (4) 34:5;44:13;49:2; 112:13</p> <p>mixed (2) 15:2;65:8</p> <p>mobile (3) 63:12;69:15;81:15</p> <p>mobilizing (1) 78:14</p> <p>model (1) 92:5</p> <p>moderate (2) 100:6,6</p>	<p>module (1) 94:1</p> <p>moment (3) 62:12;63:21;78:7</p> <p>moments (1) 67:10</p> <p>Monday (2) 20:15,16</p> <p>monitor (6) 40:2;59:5;63:16; 68:13;78:20;115:16</p> <p>monitoring (16) 21:18,23;22:2,4; 28:24;29:3;30:3; 39:22;58:14,24,25; 66:15,18,20;71:15; 73:8</p> <p>months (6) 47:16;54:13;74:2; 89:16;90:19;110:9</p> <p>more (43) 9:16;11:3,9;16:9; 17:7,8;19:17;21:3; 27:3,6,15;33:23; 34:14,17;36:16,17,25; 37:2,2;43:23;46:19; 49:14,18;52:3;57:3, 19;58:11;59:8,19; 64:20;66:19;68:25; 69:7;81:20;83:15; 90:14;91:1;102:7; 111:15,15;113:14,14, 116:15</p> <p>Morgantown (1) 10:9</p> <p>morning (25) 10:14;12:11;15:15; 18:16;20:3,15,16; 22:7;26:17;27:18; 30:2,10;32:8;33:4; 41:3;43:7;44:12; 47:25;48:13;51:9; 55:22;57:23;59:23; 69:20;70:11</p> <p>most (20) 8:24;9:3;14:15; 15:1;19:24;32:1,3,4; 41:22;43:1;52:15; 53:14,15;56:20,21; 58:6;82:5;95:19; 103:5,8</p> <p>mostly (5) 30:14,18;64:16; 109:9,10</p> <p>Mount (3) 9:5,14,20</p> <p>Mountain (1) 58:19</p> <p>Moutrie (6) 12:11;33:6;51:17; 72:17;91:25;112:23</p> <p>move (10) 11:17;32:14;33:19;</p>
--	---	--	---	---

<p>34:10;47:22;62:4; 75:10,11,14;96:4 moved (2) 60:11;107:18 moves (1) 45:4 moving (6) 47:10;48:18;73:8; 75:13;96:5;107:15 much (26) 5:23;8:7;10:12,21; 12:2;36:21;44:18,19; 47:11;54:7;55:20; 62:2;68:25;69:7; 72:14;82:18;84:16; 92:6;101:20;102:3,7; 104:18;112:21,25; 113:14;118:19 muddies (1) 91:9 multiple (4) 64:5;73:5;82:1,2 Municipal (1) 74:14 must (6) 37:20;73:17;77:1,1; 84:24;109:11 myriad (1) 60:25 myself (4) 51:16;54:9;60:9; 70:1</p>	<p>nature (1) 90:12 nearly (1) 78:19 necessarily (7) 14:4;29:24;30:7; 57:13;66:18;80:19; 88:7 necessary (4) 29:6,13;56:5;82:20 need (33) 3:23;6:11;8:4; 19:10;21:7;30:7,7; 33:13;34:7;46:12,12; 47:9,17;48:10;58:12, 20;59:10;65:21; 66:19;67:15;72:4; 74:20,21;82:13,15,17; 88:10;89:5;92:7;96:2; 101:16;113:12;117:5 needed (3) 41:3;61:6;106:9 needs (11) 46:13;50:3;60:11; 84:11;87:3,9,24; 89:25;104:2,19;117:5 neglected (1) 42:22 negotiated (1) 60:24 Neidhardt (6) 2:25;79:21;80:25; 81:7;117:12;118:18 new (4) 58:9;89:7;108:10; 115:15 news (3) 20:16;116:11; 118:16 Next (12) 13:19,20;15:4; 22:19;73:9;75:10,11; 78:22;79:23;85:11; 94:7;107:18 Nicole (2) 26:17;83:22 night (1) 41:3 nine (1) 90:19 NIOSH (2) 10:9;100:20 none (2) 25:4;41:16 nonemergency (3) 5:23;110:6,21 normal (5) 5:22;8:18;23:1; 58:9;110:13 normally (1) 103:15 note (3) 7:16;12:4;85:13</p>	<p>noted (1) 6:19 notes (2) 3:14;6:21 notice (2) 14:5;77:12 notion (1) 39:8 November (1) 64:15 number (12) 17:16;18:2;20:5; 23:10;57:6;67:14; 71:14,19;78:16; 92:13;100:20,20 numbers (2) 100:16,24 Nuns (1) 58:8</p>	<p>39:23,24 often (8) 22:17;26:1,12; 28:13;38:17;56:1; 88:25;89:25 Ogletree (1) 48:1 old (1) 118:12 olden (1) 20:17 on/off (1) 89:25 once (3) 36:23;54:23;77:9 one (62) 5:8;6:7,21;11:9; 13:24;14:9,21;17:4,7, 8;19:7,15;20:10; 22:16,18,23;23:3,16, 21;33:8;34:6,23; 37:10;39:11;40:3; 41:24;42:4;44:25; 48:5,6,8,24;49:18; 52:11;53:22;55:4; 63:11,13,18;65:6; 71:14;75:11,11;77:5; 78:12,21;79:5,20; 81:11;85:20;90:14; 95:2,5;103:20;105:3; 108:25;112:7,9; 113:7,14,21;115:14 ones (3) 43:1;59:1;63:19 ongoing (1) 34:20 online (4) 13:24,25;14:7;94:1 only (12) 14:12;36:7;48:24; 60:22;62:17;79:9; 90:23;100:17,25; 111:24,24;116:1 onshore (1) 39:24 on-site (2) 23:11;94:5 onto (1) 25:24 oOo- (1) 2:4 open (3) 7:14;90:19;117:13 open-communication (1) 83:13 opening (1) 15:12 operates (1) 54:22 operation (2) 54:22;55:15 operations (3) 11:24;105:9;109:18</p>	<p>opinion (2) 27:6;69:1 opinions (1) 64:16 opportunities (4) 5:18;36:17,17;37:1 opportunity (13) 5:13;22:10;27:19; 35:3,20;36:6,9;37:2; 85:15;108:15;110:20; 111:2,14 opposed (4) 15:2;62:20;90:18; 113:17 opt (1) 21:22 option (2) 66:15;96:19 options (1) 82:18 oral (1) 4:22 order (1) 29:11 orders (1) 20:19 organization (4) 4:7;8:16,16;51:14 organizations (2) 38:10;91:16 organize (1) 63:22 organized (1) 25:3 original (1) 3:21 Otay (1) 53:18 others (4) 9:6;13:11;32:21; 51:18 otherwise (3) 89:3;104:9;108:18 out (46) 5:4;12:13;15:3; 23:9,13;24:11;25:23; 32:1;34:4,17,21;37:6; 41:4;42:3,10,12; 43:21;44:1;47:18; 50:5;53:25;54:1;57:2; 58:20;61:22,22; 63:14;66:8;69:2,21; 70:7;73:17;75:12; 76:10;77:6,7;80:24; 82:2;87:24;95:6; 98:13;112:5;113:5, 24,24;116:4 outdoor (29) 7:9;10:24,25;11:1; 14:11,18,21,23,25; 15:24;16:2,5,7,15; 28:12,20;29:6,12; 32:15;34:8,19;39:7,9;</p>
N		O		
<p>N95 (24) 9:13,18;35:3;38:23; 40:7;41:14;58:17; 61:11;64:24;66:7; 68:3;69:4,25;70:2; 76:10,25;82:7,16; 88:24;89:18;97:5; 99:21;108:22;116:5 N95s (2) 75:5;109:17 name (25) 2:17;4:7;8:15; 10:14;18:16;20:3; 21:13;27:20;30:10; 37:24;40:22;42:15; 44:12;57:23;62:9; 64:4;73:20;74:13; 78:5;80:14;81:10; 89:11;92:16;99:2; 109:4 Nancy (2) 30:11;89:11 Napa (3) 57:24;58:14;59:14 narrow (1) 55:24 narrows (1) 13:18</p>	<p>Oakland (4) 5:13;42:16;103:8; 109:5 observe (1) 80:7 obsolete (1) 72:25 obtain (2) 27:14;94:3 obtaining (1) 21:9 obviously (4) 42:22;57:11,12,17 Occupational (14) 3:8,10,12;5:11; 6:25;10:8;62:11;64:6; 93:14;94:6;104:2,7, 25;105:23 occupations (1) 103:15 occur (4) 9:7;23:22;54:21; 58:11 o'clock (1) 103:9 off (9) 14:3;24:19;34:14; 51:15;62:5;68:19; 90:18;92:22;116:10 offer (2) 30:14;91:5 offered (1) 51:16 Office (2) 17:2;93:2 officer (7) 3:9;8:22;57:1;67:8; 75:18;80:4;86:5 offices (1) 22:21 offshore (2)</p>			

62:16,21;71:25; 72:25;87:11;96:25	pardon (1) 8:13	76:13	102:16	play (1) 5:24
outdoors (12) 14:15;27:25;28:11, 12,15,16;29:22; 31:19;32:17;43:17; 45:21;58:17	park (1) 32:16	people (55) 2:20;4:6;8:1,4,5; 11:6;15:11;20:20; 22:17,24;23:11;24:6, 11,13;25:7;29:20; 30:25;34:21;41:5,22; 42:4,8,11;44:4;45:24; 51:22;54:15,16,17; 57:17;60:25;61:21; 64:19;65:4;67:14; 72:3,10;73:5;74:25; 78:8;80:14,15,17,21; 86:10;94:13;96:22; 100:17,18,21;101:6; 102:25;110:25; 116:14;118:10	personal (4) 21:4;80:16;93:4; 108:2	please (13) 2:9,12;3:25;4:2,7; 8:8,14,17;12:6;68:1; 72:19;83:11;117:17
outliers (1) 107:8	Parks (1) 32:9	people's (1) 100:25	personally (1) 108:22	PLHCP (1) 102:13
outline (2) 60:20;87:7	part (18) 6:20,21;8:3;19:7,8; 26:23;27:8;29:21; 32:4;41:14,25;59:8; 71:10;102:5;112:11; 115:6;116:20;118:7	per (7) 93:5;100:10,24; 102:16;104:11,12; 105:11	personnel (3) 7:24;11:23;56:6	pm (2) 6:13;118:20
outlined (1) 97:3	participate (1) 23:20	percent (5) 46:18;100:15,17, 17,21	persons (1) 9:9	PM10 (1) 117:24
outrageous (2) 107:8,12	participating (1) 117:9	percentage (1) 17:16	perspective (2) 74:1;115:18	PM2.5 (20) 11:9;17:6,19;22:14; 25:10;26:24;27:11; 28:24;37:19;44:6; 55:7;62:19;70:16; 71:19;83:6;101:20; 104:14;106:8;117:23; 118:2
outside (7) 46:1,2;57:17,18; 61:19;99:24;112:6	particular (12) 7:19;8:24;22:11; 26:22;43:11;51:19, 23,23;54:10;57:5; 63:13;78:14	perception (1) 13:9	Pesticides (2) 28:9;89:1	podium (70) 8:11,21;10:14; 12:11;15:15;16:25; 18:16;20:3;21:13; 22:7;25:14;26:15,17; 27:18;30:10;32:8; 33:4;37:23;40:22; 42:15;43:7;44:12; 47:25;51:9;55:22; 57:23;59:23;62:9; 64:4;65:11;67:7;68:8; 69:13;70:11,25; 72:17;74:13;75:17; 76:13;78:5;80:3;81:3, 9,25;82:23;83:22; 84:23;85:23;86:25; 87:21;88:15;89:11; 90:4;91:13,25;93:14; 94:17;96:11;97:8; 99:2;101:22;106:4, 24;109:4,15;110:1; 112:23;115:21; 117:17,18
over (16) 2:8;4:24;28:2; 30:19;31:14;33:16; 39:1;45:4;46:20; 56:19;61:2;68:12; 69:17;78:11;104:23; 107:10	particulate (5) 9:4,22;62:18;68:6; 104:7	perfect (3) 111:4;116:18;117:2	Petition (3) 4:13;10:25;34:6	point (24) 5:4,7;14:19;23:23; 36:5;37:4,10;39:6; 44:22;48:6;50:1,4; 55:14;65:5;66:4;74:6; 76:19;77:13;92:23; 96:20;98:23;100:1; 107:17;108:5
overall (3) 44:19;47:17;71:19	particulates (2) 89:2;104:9	perfectly (2) 19:16;45:3	PG&E (1) 78:11	pointed (2) 87:24;113:5
overlooked (1) 25:6	partners (1) 28:2	performance (1) 101:9	phase (1) 5:10	points (5) 14:21;38:7;93:16; 107:1;113:1
oversight (1) 13:5	PASMA (2) 70:12;109:15	performed (1) 104:19	phases (1) 23:21	poles (2) 23:8,10
overstated (1) 100:3	pass (1) 4:24	performing (4) 7:24;11:23;28:12; 56:6	physical (1) 43:16	police (1) 57:1
overturning (1) 61:4	Passed (4) 34:19;49:20;55:3; 108:22	perhaps (4) 7:2;73:21;87:23; 117:5	physician (4) 64:11;93:15;94:5,6	policy (2) 27:20;75:25
overwhelming (1) 72:8	passes (1) 34:1	period (8) 14:6;21:1;22:24; 23:14;36:8;57:4;99:6; 114:11	physicians (1) 45:15	politically (1)
own (5) 22:2,4;23:24;47:21, 21	passing (1) 105:4	periodically (2) 21:24;63:15	picture (1) 111:10	
P	past (2) 75:3;89:16	permanent (11) 11:2;36:10,14,25; 46:8;47:7;59:8;79:8; 102:6;108:18;117:8	piece (5) 88:5,10;89:23; 90:14;116:22	
Pacific (1) 78:6	patient (2) 56:3,25	permanently (1) 46:7	pieces (1) 25:15	
package (1) 35:14	patients (4) 56:12,18,20;57:16	permissible (3) 67:2;94:23;104:4	pipelines (3) 24:2,23,25	
page (3) 5:25;36:4;98:17	pattern (1) 29:7	permitting (1) 116:21	pipes (1) 74:20	
pages (1) 60:22	patterns (1) 19:9	person (7) 26:11;28:10;67:24; 69:16;77:15;98:2;	place (18) 14:14,18;33:1,16; 36:4,24;37:18;44:5; 45:4;46:13;47:17; 79:7,10,16;85:20; 107:18;111:7;113:4	
pain (1) 97:21	PCs (1) 93:4		planned (2) 62:23,25	
pairs (1) 41:18	Peach (1) 58:8		planning (4) 80:18;81:6;91:5,7	
Pamela (1) 3:2	peers (1) 39:1		plans (2) 56:23;57:6	
panic (1) 70:19	PEL (10) 14:24;16:18;17:5, 11;44:8;65:7;104:8, 13,16,18			
paperwork (1) 5:24	pen (1)			
paramount (1) 58:1				

101:18 pollutants (1) 55:3 pollution (3) 52:17;53:13,25 poor (2) 66:13,13 Popular (2) 30:12;89:12 population (5) 42:22;43:2;46:18; 64:21;80:11 populations (1) 80:12 portable (1) 59:1 position (4) 63:20;78:25;109:1; 117:2 possibilities (1) 61:1 possibility (24) 6:23,24;13:8;16:14; 27:1;48:23;49:4,11, 12,12,14,15;50:1,5; 51:5;52:5,8;54:20; 55:3,10;60:4,23;61:7; 96:24 possible (10) 3:24;6:3,11;24:17; 65:16;78:24,25; 80:13;102:19;105:15 possibly (5) 7:3;27:7;41:11; 58:9;94:1 posted (2) 3:15;5:2 potential (6) 7:15;13:17;36:18; 73:2;98:24;107:8 potentially (3) 13:3;19:3;76:4 power (5) 11:15,18;86:11; 96:3;107:10 PowerPoint (1) 90:11 PR (3) 11:12;13:12;14:11 practicable (1) 107:22 practical (5) 22:15;23:14;55:12; 96:2;109:8 practicality (2) 104:24;105:22 practice (2) 66:5;115:6 practicing (1) 117:21 precaution (1) 29:5 precautions (3)	28:11;29:7;30:5 preceded (1) 51:18 precedes (1) 5:7 predict (1) 12:20 predictability (1) 12:18 predictable (1) 113:16 predictably (1) 73:13 preemployment (2) 68:4;75:20 pre-employment (1) 76:9 preexisting (3) 28:8;64:19;93:21 prefer (1) 17:11 preferable (1) 35:16 preference (1) 95:21 pregnancy (1) 114:20 pregnant (3) 45:23;47:3;93:21 premature (1) 10:5 prepare (1) 93:11 prepared (5) 29:9;30:4;33:14,19; 112:18 preparedness (2) 56:23;57:6 prescreening (1) 67:21 present (1) 12:19 presentation (1) 90:11 presented (1) 118:9 presenters (1) 102:1 presently (3) 12:24;13:21;92:24 presents (2) 52:8;118:5 President (1) 9:6 pressure (1) 31:16 presumably (1) 37:6 presume (1) 49:23 pretty (5) 5:25;47:1;51:10; 53:17;55:24	prevent (1) 84:19 preventing (1) 37:21 Prevention (1) 86:4 previous (3) 46:15;70:14;72:6 previously (1) 40:4 primarily (1) 85:2 primary (2) 85:5;94:5 principal (3) 2:22;3:7;37:25 prior (5) 14:10;63:2;69:24; 71:13;92:14 prisoner (1) 67:18 prisoners (1) 76:5 private (1) 115:6 probability (1) 49:24 probably (13) 35:16;49:24;51:14; 55:1,24;90:21;107:9, 12;108:14;114:15; 115:24,24;118:14 problem (9) 34:3;39:25;52:5,7, 8;73:25;96:3;97:15; 109:24 problematic (2) 18:2;52:4 problems (4) 64:24;98:3,14,24 procedure (1) 36:3 procedures (3) 16:2;65:25;99:16 PROCEEDINGS (2) 2:1;118:20 process (17) 5:1,5,7,10,21;6:8; 17:11;33:10,15;34:2, 20;36:15,25;37:2,7; 79:5,13 produce (1) 9:17 producers (1) 20:5 product (1) 58:2 products (2) 72:4,7 professional (3) 102:12,14;103:21 professor (3) 64:5,7,9	proficient (1) 102:13 program (7) 41:13,15;86:4;87:8; 99:15,16,23 programs (1) 38:1 prohibits (1) 105:6 Project (4) 42:16;109:5;110:9; 111:22 projects (1) 21:19 proper (2) 14:18;68:2 properly (3) 87:25;88:1;89:18 prophylactically (3) 54:18;55:17;73:22 proposal (3) 3:16;34:1;99:5 propose (6) 15:25;16:4,17,20, 21;65:24 proposed (3) 4:15;5:16;35:13 protect (15) 4:19;24:6;27:3; 34:8;37:20;43:15; 50:21,21;72:2;73:12; 77:21,23;81:21; 86:16;89:2 protected (5) 9:21;34:15,24;47:4; 96:22 protecting (5) 2:19;4:12;16:2; 31:7;60:18 protection (27) 9:13,18;24:7;25:7; 64:22;66:23;71:6; 73:11;88:25;95:17; 97:5;99:20,23;100:4, 14,23;101:12,15,16; 104:1;105:6,17; 106:9;111:3;116:15, 19,20 protections (6) 25:10;28:1;29:11; 43:1;72:11;103:24 protective (8) 27:6;48:17;77:25; 88:19;94:23;97:2; 105:7;108:2 protects (1) 31:25 protocol (1) 71:16 provide (26) 4:6,7;5:9,13;18:18; 25:17;29:13;35:6; 41:14;61:11;66:23;	69:4;72:3;73:22; 76:22,25;77:1;85:17; 87:11;88:8;89:7; 91:19;92:24;93:23; 106:12;111:3 provided (5) 38:4,14;41:21; 86:21;88:1 provides (1) 94:25 providing (18) 25:14;28:3;34:25; 35:3;37:22;38:11,12, 15,23;66:23,24;69:9; 72:11;75:20;94:11; 105:16;106:20; 109:21 provision (9) 12:17;55:6;63:6; 66:6,6;97:5;108:12; 110:17;116:13 provisions (7) 39:14;72:22,25; 73:1;92:11;108:17,19 proximity (1) 13:2 PRR (1) 95:7 PR's (1) 14:19 public (11) 21:9;26:6;28:7; 31:3;36:17;37:1; 64:10;70:12,18; 85:22;116:9 published (1) 9:7 pull (1) 75:7 pulled (1) 21:20 pulling (1) 91:7 pulmonary (1) 64:6 Puri (1) 93:14 purpose (3) 24:5;77:8,19 purposes (2) 17:7;32:23 purved (1) 17:12 pushes (1) 66:14 put (15) 4:21;19:6;20:11; 25:22;41:10;69:17; 76:18;79:7;88:1; 89:24;90:17;95:10; 107:9,18;108:25 puts (3) 78:24;108:23;117:1
--	--	---	---	--

putting (3) 42:12;59:4;115:12	44:7;69:8;84:25; 87:12	receipt (1) 38:6	regarded (1) 76:2	101:11
Q	reading (3) 21:20;40:15;81:5	received (2) 3:17;97:22	regarding (14) 17:4,13,18,25;18:7; 32:25;62:15;63:4; 68:18;72:22;78:9; 85:25;93:19,24	Relative (4) 83:2,5,12,18
qualified (2) 59:11;102:12	readings (1) 28:23	recent (1) 42:18	regardless (8) 7:21;45:23,23; 47:19,20;89:22; 96:22;113:19	relatively (1) 88:11
quality (25) 19:2,3,11;28:17; 29:8,23;52:18,20,21; 53:5,9,12,14,16,21, 24;54:12;55:7,11; 58:2,3;59:4;71:17; 72:6;116:1	readoptions (1) 6:4	recently (2) 103:11;105:5	region (2) 27:25;28:14	release (1) 28:24
quandary (1) 108:23	reads (3) 16:1;52:25;92:24	recess (1) 79:19	regional (7) 16:13;19:3,18; 52:11,17,20;53:5	relevant (1) 8:24
questionnaires (1) 102:11	ready (4) 30:8;90:15;97:4; 114:8	reckon (1) 54:15	regions (1) 53:8	reliability (1) 29:19
quick (5) 6:8;25:2;62:13; 73:17,17	real (3) 24:23;57:20;77:17	recognize (2) 72:8;86:1	regs (3) 47:8;114:16;115:3	reliable (2) 28:23;104:25
quickly (19) 8:4;11:17;26:5; 37:16;41:11;44:18, 21;46:12;60:3;65:16, 17;71:22;72:19; 82:25;85:15;87:3,5; 113:10,13	realistic (21) 6:23,24;13:7;16:14, 15;20:22;27:1;48:23; 49:4,11;50:1,5;51:5; 52:5,7;56:14;60:4,22; 61:1,7;95:22	recognized (1) 94:21	regular (3) 69:19;70:5;89:1	relief (1) 30:16
quickness (1) 114:6	realization (1) 65:20	recommend (6) 11:7,10,11;62:22; 81:16;86:14	regularly (1) 89:5	relies (1) 105:2
quite (4) 19:12;23:10;65:20; 118:8	realize (3) 6:19;43:19;101:18	recommendation (2) 63:16;105:24	regulate (1) 61:16	rely (3) 72:3,7;92:6
R	realized (2) 57:8;58:9	recommendations (2) 71:14;72:15	regulated (1) 104:9	relying (3) 20:18;61:20,20
radio (3) 20:18;21:3;26:9	really (51) 6:10,13;12:18; 13:10,12;14:22; 23:20;24:5;28:23; 29:23;30:22;31:11, 24;32:2;33:8,18; 34:24;43:11;44:20, 21;45:7;46:4,11;57:4; 59:20;71:3,13,17; 72:20;73:11;77:6; 78:24;84:17;89:15, 19,23,24;90:1,23; 95:1;96:1;98:4,8; 99:4,8;101:17;104:1, 20;106:10;111:21; 117:4	recommended (1) 10:25	regulating (1) 86:7	remarks (2) 51:16;67:9
radius (1) 68:12	real-time (1) 90:18	record (2) 8:17;62:5	regulation (44) 4:15,19;6:16,22; 8:3,6;11:1;13:21; 14:8;15:24;16:11; 33:11;34:3,7;35:24; 36:6,7,10,12,14,23, 25;45:1,46:25;47:6; 48:9;51:5,25;53:2; 55:6;59:8,9;61:8; 66:14;76:20;79:6,10; 87:16;108:15;110:4; 115:8;116:21;117:1,8	remember (6) 25:24;90:20;97:17; 110:4;115:24;116:8
raised (3) 6:18;7:2;92:12	realty (2) 19:4,23	reduce (1) 42:24	regularly (1) 89:5	remind (1) 8:12
raises (1) 39:18	reason (11) 53:17;61:1;62:24; 79:11;101:3,5; 103:20;106:17;113:5, 15;116:12	reduced (1) 43:15	regulations (5) 37:18;48:8;91:5,7; 111:6	remove (2) 41:4;91:5
Raj (1) 93:14	reasonable (4) 22:24;27:14;48:19; 49:17	reduces (1) 46:7	Regulatory (10) 10:15;38:3;45:2; 62:10;81:11;85:24; 91:8;94:18;95:1; 115:11	removed (3) 13:12,15;38:18
range (2) 68:6;100:7	reasonableness (1) 7:3	reducing (1) 66:17	regulate (1) 61:16	removing (1) 11:20
rarely (1) 56:4	reasonably (4) 29:16;50:19,20; 63:7	reduction (1) 99:23	regulated (1) 104:9	repairs (1) 107:11
rather (3) 69:5;82:14;86:21	reasons (4) 55:4;102:8;104:24; 105:21	redundancy (2) 91:4,6	regulating (1) 86:7	repeat (1) 32:21
rating (1) 105:24		refer (5) 7:6,12;83:7;90:22; 96:14	regulation (44) 4:15,19;6:16,22; 8:3,6;11:1;13:21; 14:8;15:24;16:11; 33:11;34:3,7;35:24; 36:6,7,10,12,14,23, 25;45:1,46:25;47:6; 48:9;51:5,25;53:2; 55:6;59:8,9;61:8; 66:14;76:20;79:6,10; 87:16;108:15;110:4; 115:8;116:21;117:1,8	replaced (2) 91:20,21
reached (1) 101:20		referring (2) 66:3;90:25	regulations (5) 37:18;48:8;91:5,7; 111:6	replenish (1) 70:2
reaches (1) 55:11		refers (2) 7:23;12:23	Regulatory (10) 10:15;38:3;45:2; 62:10;81:11;85:24; 91:8;94:18;95:1; 115:11	report (2) 19:18;84:8
react (1) 114:4		reflects (1) 101:25	regulate (1) 61:16	reported (1) 25:25
read (2) 52:11;83:11		refugees (1) 42:18	regulating (1) 86:7	reporter (4) 4:8;8:13,19;36:22
readily (4)		reg (16) 33:14,15,17,20,25; 34:14,18,20,22;37:7; 68:16;77:8,22;79:7; 111:10;115:12	regulation (44) 4:15,19;6:16,22; 8:3,6;11:1;13:21; 14:8;15:24;16:11; 33:11;34:3,7;35:24; 36:6,7,10,12,14,23, 25;45:1,46:25;47:6; 48:9;51:5,25;53:2; 55:6;59:8,9;61:8; 66:14;76:20;79:6,10; 87:16;108:15;110:4; 115:8;116:21;117:1,8	reporters (1) 12:15
		regard (4) 9:7;32:14;39:6; 94:21	regulations (5) 37:18;48:8;91:5,7; 111:6	reporting (1) 106:20
			regulate (1) 61:16	reports (2) 53:12,24
			regulated (1) 104:9	represent (6) 4:7;8:15;20:5; 21:15;70:17;117:21
			regulating (1) 86:7	representatives (1) 61:3
			regulation (44) 4:15,19;6:16,22; 8:3,6;11:1;13:21; 14:8;15:24;16:11; 33:11;34:3,7;35:24; 36:6,7,10,12,14,23, 25;45:1,46:25;47:6; 48:9;51:5,25;53:2; 55:6;59:8,9;61:8; 66:14;76:20;79:6,10; 87:16;108:15;110:4; 115:8;116:21;117:1,8	representing (5) 15:16;22:9;48:1; 57:24;70:12
			regulations (5) 37:18;48:8;91:5,7; 111:6	represents (2)
			Regulatory (10) 10:15;38:3;45:2; 62:10;81:11;85:24; 91:8;94:18;95:1; 115:11	
			regulate (1) 61:16	
			regulated (1) 104:9	
			regulating (1) 86:7	
			regulation (44) 4:15,19;6:16,22; 8:3,6;11:1;13:21; 14:8;15:24;16:11; 33:11;34:3,7;35:24; 36:6,7,10,12,14,23, 25;45:1,46:25;47:6; 48:9;51:5,25;53:2; 55:6;59:8,9;61:8; 66:14;76:20;79:6,10; 87:16;108:15;110:4; 115:8;116:21;117:1,8	
			regulations (5) 37:18;48:8;91:5,7; 111:6	
			Regulatory (10) 10:15;38:3;45:2; 62:10;81:11;85:24; 91:8;94:18;95:1; 115:11	
			regulate (1) 61:16	
			regulated (1) 104:9	
			regulating (1) 86:7	
			regulation (44) 4:15,19;6:16,22; 8:3,6;11:1;13:21; 14:8;15:24;16:11; 33:11;34:3,7;35:24; 36:6,7,10,12,14,23, 25;45:1,46:25;47:6; 48:9;51:5,25;53:2; 55:6;59:8,9;61:8; 66:14;76:20;79:6,10; 87:16;108:15;110:4; 115:8;116:21;117:1,8	
			regulations (5) 37:18;48:8;91:5,7; 111:6	
			Regulatory (10) 10:15;38:3;45:2; 62:10;81:11;85:24; 91:8;94:18;95:1; 115:11	
			regulate (1) 61:16	
			regulated (1) 104:9	
			regulating (1) 86:7	
			regulation (44) 4:15,19;6:16,22; 8:3,6;11:1;13:21; 14:8;15:24;16:11; 33:11;34:3,7;35:24; 36:6,7,10,12,14,23, 25;45:1,46:25;47:6; 48:9;51:5,25;53:2; 55:6;59:8,9;61:8; 66:14;76:20;79:6,10; 87:16;108:15;110:4; 115:8;116:21;117:1,8	
			regulations (5) 37:18;48:8;91:5,7; 111:6	
			Regulatory (10) 10:15;38:3;45:2; 62:10;81:11;85:24; 91:8;94:18;95:1; 115:11	
			regulate (1) 61:16	
			regulated (1) 104:9	
			regulating (1) 86:7	
			regulation (44) 4:15,19;6:16,22; 8:3,6;11:1;13:21; 14:8;15:24;16:11; 33:11;34:3,7;35:24; 36:6,7,10,12,14,23, 25;45:1,46:25;47:6; 48:9;51:5,25;53:2; 55:6;59:8,9;61:8; 66:14;76:20;79:6,10; 87:16;108:15;110:4; 115:8;116:21;117:1,8	
			regulations (5) 37:18;48:8;91:5,7; 111:6	
			Regulatory (10) 10:15;38:3;45:2; 62:10;81:11;85:24; 91:8;94:18;95:1; 115:11	
			regulate (1) 61:16	
			regulated (1) 104:9	
			regulating (1) 86:7	
			regulation (44) 4:15,19;6:16,22; 8:3,6;11:1;13:21; 14:8;15:24;16:11; 33:11;34:3,7;35:24; 36:6,7,10,12,14,23, 25;45:1,46:25;47:6; 48:9;51:5,25;53:2; 55:6;59:8,9;61:8; 66:14;76:20;79:6,10; 87:16;108:15;110:4; 115:8;116:21;117:1,8	
			regulations (5) 37:18;48:8;91:5,7; 111:6	
			Regulatory (10) 10:15;38:3;45:2; 62:10;81:11;85:24; 91:8;94:18;95:1; 115:11	
			regulate (1) 61:16	
			regulated (1) 104:9	
			regulating (1) 86:7	
			regulation (44) 4:15,19;6:16,22; 8:3,6;11:1;13:21; 14:8;15:24;16:11; 33:11;34:3,7;35:24; 36:6,7,10,12,14,23, 25;45:1,46:25;47:6; 48:9;51:5,25;53:2; 55:6;59:8,9;61:8; 66:14;76:20;79:6,10; 87:16;108:15;110:4; 115:8;116:21;117:1,8	
			regulations (5) 37:18;48:8;91:5,7; 111:6	
			Regulatory (10) 10:15;38:3;45:2; 62:10;81:11;85:24; 91:8;94:18;95:1; 115:11	
			regulate (1) 61:16	
			regulated (1) 104:9	
			regulating (1) 86:7	
			regulation (44) 4:15,19;6:16,22; 8:3,6;11:1;13:21; 14:8;15:24;16:11; 33:11;34:3,7;35:24; 36:6,7,10,12,14,23, 25;45:1,46:25;47:6; 48:9;51:5,25;53:2; 55:6;59:8,9;61:8; 66:14;76:20;79:6,10; 87:16;108:15;110:4; 115:8;116:21;117:1,8	
			regulations (5) 37:18;48:8;91:5,7; 111:6	
			Regulatory (10) 10:15;38:3;45:2; 62:10;81:11;85:24; 91:8;94:18;95:1; 115:11	
			regulate (1) 61:16	
			regulated (1) 104:9	
			regulating (1) 86:7	
			regulation (44) 4:15,19;6:16,22; 8:3,6;11:1;13:21; 14:8;15:24;16:11; 33:11;34:3,7;35:24; 36:6,7,10,12,14,23, 25;45:1,46:25;47:6; 48:9;51:5,25;53:2; 55:6;59:8,9;61:8; 66:14;76:20;79:6,10; 87:16;108:15;110:4; 115:8;116:21;117:1,8	
			regulations (5) 37:18;48:8;91:5,7; 111:6	
			Regulatory (10) 10:15;38:3;45:2; 62:10;81:11;85:24; 91:8;94:18;95:1; 115:11	
			regulate (1) 61:16	
			regulated (1) 104:9	
			regulating (1) 86:7	
			regulation (44) 4:15,19;6:16,22; 8:3,6;11:1;13:21; 14:8;15:24;16:11; 33:11;34:3,7;35:24; 36:6,7,10,12,14,23, 25;45:1,46:25;47:6; 48:9;51:5,25;53:2; 55:6;59:8,9;61:8; 66:14;76:20;79:6,10; 87:16;108:15;110:4; 115:8;116:21;117:1,8	
			regulations (5) 37:18;48:8;91:5,7; 111:6	
			Regulatory (10) 10:15;38:3;45:2; 62:10;81:11;85:24; 91:8;94:18;95:1; 115:11	
			regulate (1) 61:16	
			regulated (1) 104:9	
			regulating (1) 86:7	
			regulation (44) 4:15,19;6:16,22; 8:3,6;11:1;13:21; 14:8;15:24;16:11; 33:11;34:3,7;35:24; 36:6,7,10,12,14,23, 25;45:1,46:25;47:6; 48:9;51:5,25;53:2; 55:6;59:8,9;61:8; 66:14;76:20;79:6,10; 87:16;108:15;110:4; 115:8;116:21;117:1,8	
			regulations (5) 37:18;48:8;91:5,7; 111:6	
			Regulatory (10) 10:15;38:3;45:2; 62:10;81:11;85:24; 91:8;94:18;95:1; 115:11	
			regulate (1) 61:16	
			regulated (1) 104:9	
			regulating (1) 86:7	
			regulation (44) 4:15,19;6:16,22; 8:3,6;11:1;13:21; 14:8;15:24;16:11; 33:11;34:3,7;35:24; 36:6,7,10,12,14,23, 25;45:1,46:25;47:6; 48:9;51:5,25;53:2; 55:6;59:8,9;61:8; 66:14;76:20;79:6,10; 87:16;108:15;110:4; 115:8;116:21;117:1,8	
			regulations (5) 37:18;48:8;91:5,7; 111:6	
			Regulatory (10) 10:15;38:3;45:2; 62:10;81:11;85:24; 91:8;94:18;95:1; 115:11	
			regulate (1) 61:16	
			regulated (1) 104:9	

<p>15:17;92:15 request (5) 11:22;32:24;90:6; 106:12,15 requesting (1) 115:4 require (10) 15:9;24:23;55:13, 14;68:19;75:21; 83:13;87:11;98:23; 114:23 required (17) 42:4,10;44:3;53:1, 2;77:9,11;80:18;84:9; 86:3;89:6;93:6;101:4; 103:5;106:8;109:9,11 requirement (8) 64:25;68:3;69:9; 76:21;78:1;87:16; 103:17,18 requirements (6) 6:21;7:21;40:25; 104:1;105:23;108:6 requires (11) 77:22;83:19;93:10; 99:12,15,15,16,16,17, 18;101:7 requiring (4) 97:15;101:14; 103:14,23 rescue (2) 7:24;56:7 Research (2) 2:18,23 researchers (1) 100:21 reserve (1) 117:6 resident (1) 20:9 Residential (2) 48:4;76:17 resolution (1) 117:7 resolvable (1) 83:3 resolve (1) 106:1 resort (2) 110:13,21 Resources (5) 64:11;96:8;102:19, 25;107:9 respect (1) 44:23 respirable (4) 9:22;104:9,13,16 respirator (25) 25:23;55:8;76:22, 25;77:3;88:24;97:24; 98:1,3;99:11,13,14, 21,22;101:9,10,11,13; 102:2;103:4;105:25;</p>	<p>106:19,22;108:13; 110:13 respiratories (1) 94:11 respirators (36) 9:18;26:2;29:14; 30:6;34:25;37:22; 40:7;55:6;66:6,7,24; 69:9,25;70:2;76:10; 88:1,4,6,9,11;91:19; 96:16;97:16;98:19; 99:24,24;103:17; 105:2,13;106:11; 109:10,21;113:16; 116:5,13,22 respiratory (17) 9:13,24;10:1,8; 28:8;37:18,21;46:6, 10;48:13;64:22; 76:21;94:22;95:17; 97:5;99:22;104:1 respond (3) 24:6;37:10;87:5 responders (7) 24:4;26:7;31:2,8, 10;38:12,17 responding (2) 9:10;24:4 response (16) 7:23;11:23;23:5,17, 22;25:5,17;26:1,3; 31:22;39:13;42:20; 56:1,6;63:23;67:19 responsibilities (1) 79:1 responsibility (3) 41:6;51:22;91:19 responsible (1) 9:9 rest (2) 46:24;78:17 restate (1) 33:22 restoration (2) 11:18;23:5 restore (1) 86:10 restoring (1) 96:3 result (2) 45:17;51:25 resulted (1) 9:23 resulting (2) 9:12;97:20 results (2) 9:12;59:12 retain (1) 91:1 retaining (1) 40:8 retaliating (1) 84:13</p>	<p>review (1) 99:16 reviewed (2) 44:9;102:12 revised (3) 6:15;11:22;62:23 revising (1) 115:13 revision (4) 7:15;11:12;36:18; 37:3 rice (1) 118:12 right (30) 22:1;34:4,17;37:23; 43:4,21;45:10;47:14; 50:7,9,15,18;51:2,7; 61:7,8;62:2;68:9,20, 24;77:5;82:3;83:17; 89:20;94:3;111:21; 112:3;114:12;115:14; 118:2 rise (2) 114:7,11 Risk (12) 17:1,10;47:12; 64:20;76:3,8;88:22; 98:24;100:6;104:3, 19;105:22 River (3) 56:18;57:16,18 road (1) 77:14 roaming (1) 82:13 Rob (5) 12:11;33:6;72:17; 91:25;112:23 Robert (1) 78:6 Robert's (1) 77:13 robust (1) 41:13 rodeo (1) 48:20 rolling (1) 61:2 room (9) 48:24;49:25;60:2, 24;61:14,15;74:24; 79:13;115:23 roster (1) 86:13 rosters (2) 86:6;90:12 Round (1) 38:4 Roundtable (5) 10:16;62:10;81:11; 85:24;94:18 routinely (1) 117:23</p>	<p>routines (1) 14:13 Roxanne (5) 15:16;65:11;86:25; 96:11;117:18 Royo (1) 3:13 rubber (1) 105:17 Rudolph (1) 21:14 rule (4) 6:2;65:4;75:4; 79:17 rule-making (21) 4:25;5:6,10,16,21, 23;10:6;11:3;35:14; 36:3,15;65:15;79:5,6, 8,15;102:5,6;105:25; 108:18;111:14 rules (4) 55:8;68:17;110:22; 115:2 run (1) 59:1 running (1) 75:8 Rural (5) 43:8;52:14,15; 91:14;106:5</p>	<p>101:23 Santa (1) 27:23 save (1) 109:7 saving (1) 109:8 saw (4) 27:24;45:21;56:5, 16 saying (7) 19:7;36:23;40:18; 77:7;81:1;96:21;98:6 scale (1) 116:1 scary (2) 56:19,19 scenes (1) 108:21 School (1) 64:10 science (1) 67:3 sciences (2) 64:8,9 scientific (1) 46:21 scientifically (1) 44:9 scientists (1) 45:15 scope (16) 6:17;7:18;12:17; 16:4,10;19:1;26:19; 32:11;34:12,22;50:8, 15,18;52:2,3;66:2 Scott (1) 16:25 season (8) 34:16,25;37:17; 46:13;58:10,11; 111:11,18 second (7) 13:7;31:1;39:6; 42:20;103:2;106:6; 114:3 Secondly (4) 33:7;62:22;73:1; 112:1 seconds (2) 2:7;46:2 section (34) 7:17,18,20;12:22, 23;16:5,7,21,22,22; 18:13;44:23;56:5,9; 65:23,24;66:3;71:2; 82:2;84:24;87:9,10, 23;88:23;89:7;96:14; 99:12;101:24;107:1, 19;108:24;111:21; 113:22;114:16 sections (5) 7:11;13:16;21:23;</p>
S				
<p>Sacramento (5) 57:12,15;115:24; 116:4,9 sadly (1) 32:20 safe (6) 29:7;41:4;42:11; 51:1;97:11;112:9 safeguard (1) 71:25 safely (1) 24:13 safety (30) 2:22,25;3:8,10,11, 12;5:11;7:1;10:17; 18:20;20:6;21:4; 25:15;27:19;28:11; 29:13;30:5;32:12; 33:13;38:1;40:23; 56:3,3;58:1;62:11; 69:23;70:12;71:25; 85:9;97:10 same (6) 14:25;19:18;38:15; 56:25;91:1;106:17 sampling (1) 17:22 San (8) 5:17;22:9;38:19; 53:13,14,19,23;</p>				

50:17;91:8 Security (2) 41:1;42:6 seeing (2) 72:15;92:8 seek (3) 84:9;13;93:1 seeking (1) 79:23 seem (2) 39:11;114:11 seems (8) 12:4;13:22;14:4; 46:22;47:1;53:17; 82:3;115:17 select (2) 114:14,19 selected (1) 115:17 Senate (1) 33:25 send (5) 4:2;12:7;69:2,20; 70:7 senior (5) 2:25;3:11;26:18; 40:23;83:22 sense (8) 15:2;34:9;44:24; 99:12;107:13,24; 112:10;116:14 sensitive (17) 28:21;30:22;31:11; 32:4;43:15;67:13,13, 25;68:2,3,5;76:11; 80:12,21;93:20; 100:9;101:6 sensitivities (1) 43:17 sent (1) 70:19 sentence (1) 18:9 serious (3) 47:12;49:6;61:4 seriously (3) 18:20;32:13;103:22 serve (1) 80:20 served (1) 18:23 service (6) 2:14;24:21;43:22; 44:2;78:11,17 services (4) 24:18;38:13,16; 41:25 set (4) 78:8;104:10; 113:19;116:4 setting (5) 67:1;80:23;90:11; 101:3;115:3	seven (1) 103:13 several (7) 19:5;21:19;34:20; 39:21;80:14,15;102:8 severe (1) 114:7 severities (1) 113:11 shadow (1) 103:9 shall (1) 92:24 share (3) 22:11;23:18;114:3 shared (3) 31:7;40:4;71:4 sharing (1) 90:1 shave (2) 103:15;112:10 shaving (1) 68:19 sheet (1) 86:6 shift (9) 14:9;21:24;63:15; 82:6;91:21;93:5,7,8; 113:10 shifting (1) 113:13 short (5) 36:3;77:12;88:12; 99:6;103:19 shorter (1) 5:24 short-term (1) 88:20 show (5) 60:15;66:16;86:5,6, 6 showing (1) 89:18 shows (2) 39:19;86:5 Shriners (1) 57:15 Shup (1) 3:9 shut (2) 15:12;24:19 shutoff (1) 24:25 side (5) 34:7;104:15;112:3, 7;113:17 sides (1) 108:9 sign (2) 2:9,12 signed (1) 86:13 significant (2)	23:21;57:20 significantly (1) 53:9 sign-in (1) 86:6 silica (1) 9:23 similar (2) 25:13;74:25 simple (4) 65:15;83:3;87:4; 116:20 simpler (1) 85:18 simply (6) 38:15;40:17;83:10, 12;96:14;98:17 simulated (1) 100:23 single (2) 26:11;77:15 site (13) 13:3,3;14:3;41:16; 68:13;69:6;80:7,8,9; 82:4;107:15,15,16 sites (4) 23:9;41:10;59:18; 82:2 sitting (2) 12:13;74:25 situation (21) 26:24;27:7;41:19; 48:9;50:10;56:15; 73:16;81:14;86:9; 87:13,19;95:14,22; 96:2;97:18;107:6,21; 110:5,6;113:15;118:9 situations (11) 20:23;39:20,21; 40:5;43:24;71:18; 83:12;105:10;107:5, 14;110:16 six (4) 53:14;110:9; 111:24,24 six-month (1) 111:22 skin (1) 105:20 skip (1) 113:1 Sletten (1) 21:14 slow (2) 83:1;92:2 slower (2) 8:18;79:12 slowly (1) 10:20 small (1) 118:7 Smith (1) 59:23	smoke (33) 2:20;4:13,20;6:24; 8:25;12:24,24;13:17; 16:3,12,16;19:21,22, 25;22:18;27:2;28:1,3, 25;52:10;53:8;55:2; 58:19;62:23;64:13; 86:17,18;88:17; 96:23,25;97:20,24; 118:9 snapshot (1) 103:7 solution (1) 29:12 solve (1) 92:20 somebody (2) 42:19;82:3 someone (4) 63:6,21;95:6; 117:23 someone's (1) 90:16 sometime (2) 32:17,18 sometimes (5) 24:7,10;25:6;42:23; 82:11 somewhat (1) 65:25 Sonoma (4) 20:9,14,24;21:1 soon (2) 6:11;37:17 sore (1) 46:6 sorry (7) 36:19;48:5;64:8; 71:20,20;74:10;92:15 sort (7) 7:14;15:23;34:2; 46:9,9;67:1;86:1 sounds (1) 92:9 source (1) 13:24 sources (1) 21:9 Southern (6) 30:12;37:24;85:2; 89:13;90:5;106:25 Spanish (2) 2:13,15 speak (12) 4:4,8;8:10,17; 10:20;20:8;29:18; 36:19;58:13;72:19; 74:16;85:2 SPEAKER (127) 8:21;10:13,14; 12:10,11;15:14,15; 16:24,25;18:15,16; 20:2,3;21:12,13;22:6,	7:25;14;26:15,16,17; 27:17,18;30:9,10; 32:7,8;33:3,4;37:23; 40:21,22;42:14,15; 43:6,7;44:11,12; 47:24,25;51:8,9; 55:21,22;57:22,23; 59:22,23;62:9;64:3,4; 65:10,11;67:6,7;68:7, 8;69:12,13;70:10,11, 24,25;72:16,17;74:12, 13;75:16,17;76:12, 13;78:4,5;80:3;81:3, 8,9,24,25;82:22,23; 83:21,22;84:22,23; 85:23;86:24,25; 87:20,21;88:14,15; 89:10,11;90:3,4; 91:12,13,24,25;93:13, 14;94:16,17;96:10, 11;97:7,8;99:1,2; 101:21,22;106:3,4,23, 24;109:3,4,14,15,25; 110:1;112:22,23; 115:20,21;117:18 speakers (5) 2:13;64:18;65:6; 74:17;92:14 speaking (2) 17:10;82:25 speaks (1) 109:12 special (1) 103:12 specialized (1) 85:8 Specialty (1) 33:5 specific (14) 8:1,2;12:5,8;19:10; 37:19;38:6,7;64:1; 69:6,18,22;92:11,23 specifically (11) 20:8;25:5;30:20; 32:13,25;44:23; 58:13;72:23;98:23; 99:10,19 speed (2) 33:17;114:11 spend (2) 32:17;34:11 spent (1) 106:8 spoilt (1) 91:22 sponsored (1) 100:20 spotty (2) 43:23;44:2 spreading (1) 31:9 square (2) 78:12;105:12
--	---	---	---	---

squeeze (1) 24:25	48:1;76:16	study (3) 9:7,16;102:7	70:1;84:10,18	68:11,23;82:11
St (3) 9:5,15,20	Steiger (3) 34:5;44:13;60:9	studying (1) 64:12	supplement (1) 81:4	talking (16) 21:1;31:4;34:12; 42:8;48:8;50:9,10; 76:3;81:2;82:1;90:16; 100:10;110:6;112:2; 115:10;118:14
staff (7) 4:19;26:18;60:1; 62:13;81:10;83:22; 99:5	step (10) 25:21;86:1;108:13, 14;110:22,25;111:9, 19,25;114:25	stuff (1) 74:18	supplier (1) 74:18	target (1) 71:24
stakeholders (2) 34:13;67:4	steps (2) 14:7;26:5	subdivision (3) 97:13,14,14	support (22) 13:14;21:6;26:21; 30:16;38:2;39:8,14, 20;43:9;63:5;64:21, 23;65:1;66:5,22; 83:25;84:7,9;91:14; 95:19;99:7;106:10	Taylor (2) 70:12;109:15
stand (2) 22:2;86:12	stick (1) 105:19	subgroups (1) 65:2	supporting (1) 30:21	teams (2) 24:4;103:8
standard (25) 7:4;26:23;27:19; 28:6;29:25;43:13; 44:7,17;45:11;47:4; 48:12;49:5,13,17; 60:11,13,17;66:5; 103:4,25;105:2,5; 109:23;110:8;115:9	still (14) 3:21;24:2;34:12; 41:20;48:25;51:5; 56:22;61:22;78:15, 15,16;80:3;94:17; 116:17	subjective (2) 83:4,18	supportive (2) 77:20;84:19	teamwork (1) 25:3
Standards (23) 2:18,23;3:8,10,12; 4:18;5:12,14,15; 35:14,18,22;36:2,4; 37:12;44:4,16;47:15; 48:14;49:8;72:2; 94:22;115:10	stock (1) 116:5	submit (5) 17:2;38:5;91:3; 107:3;108:11	suppose (1) 51:10	tech (1) 83:19
standing (1) 28:16	stood (1) 94:19	submitted (4) 4:16;6:12;13:13; 107:22	supposed (4) 45:12;49:11,12; 93:9	technicians (1) 41:15
standpoint (6) 78:18;102:10; 103:3,21;107:25; 108:1	stop (1) 15:4	submitting (1) 15:21	sure (24) 7:8;13:10;14:12,17; 19:12;36:21;38:18; 41:4,6,9;47:1;73:12, 14;76:2,19;77:18; 82:14;83:7;84:17; 86:12;89:21,24; 92:18;98:9	telling (1) 94:19
stands (2) 21:16;51:2	stopped (1) 18:24	subsection (16) 4:5;8:10;62:3,4,7,7; 79:24,25;85:11,12; 88:16;89:4;94:7,8,13; 101:24	survive (1) 72:4	temporary (3) 68:24;82:21;84:3
Stanford (1) 93:15	stopping (1) 37:14	substantial (3) 49:14,24;98:24	susceptible (2) 64:20;65:2	ten (5) 20:7;28:13,18; 102:16;104:12
start (6) 30:3;34:15;43:3; 51:15;77:7;82:6	stops (1) 52:22	subsumed (1) 52:17	Sustainable (1) 27:21	tend (1) 96:5
started (3) 2:7;41:2;110:3	store (1) 45:25	subtracting (1) 37:5	switch (1) 63:18	tenfold (1) 99:23
starting (2) 6:17;44:22	stories (1) 31:17	succinct (1) 83:15	switching (1) 24:8	tens (1) 58:25
starts (2) 21:24;63:15	story (2) 45:21,21	succinctly (1) 14:20	symptoms (3) 84:8;97:19;106:20	tent (1) 107:10
state (21) 8:15;15:18;16:13; 17:1,15;37:20;47:5; 50:24;52:11,22; 59:24;61:17,18; 71:21;72:3,5;74:1; 75:22;76:22;108:14; 112:16	Street (3) 42:16;75:9;109:5	suddenly (1) 45:4	system (7) 7:22;17:1;40:18; 44:4;71:15;74:22; 83:6	term (6) 49:7,9,10;77:4; 90:7;103:19
statements (1) 73:2	stress (1) 44:3	sufficient (1) 71:24	systems (7) 11:19,19;23:24,24; 46:6;86:11;96:4	terminology (1) 61:6
station (1) 21:20	stretch (1) 102:19	sufficiently (1) 92:2	systemwide (1) 17:10	terms (7) 28:24,25;54:2,2; 66:19;89:21;118:6
stationary (1) 80:19	strike (4) 16:8,17,20;98:18	suggest (3) 87:6;95:9;98:17	table (2) 2:8;38:4	territory (2) 78:11,18
stations (1) 59:3	strikeout (1) 15:20	suggested (1) 22:22	tailgate (1) 86:19	test (7) 54:19,25;55:17; 77:14;95:5;100:19; 108:23
Steel (2)	strong (4) 64:15;72:5,7,11	Suggesting (1) 94:1	talk (7) 4:25;27:15;33:7; 37:5;54:8;79:12; 83:24	testified (3) 102:21;105:15,18
	stronger (1) 72:1	suggestion (4) 21:7;53:3;56:11; 75:23	talked (6) 30:18;51:20,21;	testify (1) 73:6
	strongly (1) 27:4	suited (1) 14:23	T	testimonies (1) 31:17
	struck (1) 116:17	summarize (2) 15:22;96:21	talked (6) 30:18;51:20,21;	testing (19) 67:22;68:4,19; 73:17,22;74:9;75:20; 76:10;77:10;99:18, 20;100:12;101:8,8, 14;102:15,23;106:8; 109:9
	structure (2) 26:13;96:6	summarized (2) 5:21,25		thanks (10) 4:13;12:9;22:9;
	structures (5) 7:6,20;15:9;95:9,11	summary (1) 17:3		
	students (1) 103:13	summer (2) 19:24;60:4		
	studied (1) 104:3	superintendents (2) 69:3;82:13		
	studies (2) 10:9;100:20	supervision (3)		

26:15;42:13;44:19; 47:23;70:9;75:15; 79:17 theme (1) 32:16 thereafter (1) 63:15 therefore (1) 63:2 thinking (3) 29:20;31:13;57:6 third (3) 39:13;48:4;105:3 Thomas (1) 27:24 though (6) 3:22;13:20;14:22; 31:20;98:9;107:6 thought (7) 13:11;14:5,8;31:21; 51:20;56:7;98:13 thoughts (2) 12:22;29:2 thousands (4) 35:4,4;59:1;102:17 threat (1) 55:2 threatened (1) 23:25 three (9) 5:18;21:1;26:11; 60:22;79:20;83:9; 105:21;112:10;116:7 threshold (6) 26:22,25;28:5,22; 43:14;83:25 thresholds (1) 27:5 throats (1) 46:6 throughout (5) 21:24;29:1,9;30:1; 68:13 throws (1) 77:6 Thursday (2) 20:25;41:2 thus (1) 12:21 tied (5) 13:2;49:5,19,21; 50:3 tier (2) 113:21;114:3 Tiffany (1) 70:25 tighten (1) 50:18 timeline (4) 33:21,22;44:18; 114:4 times (11) 21:10;34:20;48:20;	53:21;54:15,17; 95:10;104:13;109:10; 111:16;114:15 timing (2) 35:11,13 title (1) 15:25 today (17) 2:19;3:23,25;4:12; 5:7,8,18;38:22;50:22; 54:3;60:3;61:24;71:4; 77:23;111:5,6;117:8 together (5) 41:11;44:21;81:1; 92:3;114:1 told (4) 75:6;95:25;97:19; 116:9 Tomorrow (1) 77:23 took (1) 58:18 tool (1) 29:25 tools (1) 39:17 top (1) 58:18 totally (1) 42:10 toward (1) 92:7 towards (3) 21:5;60:17;66:15 towers (1) 20:14 track (7) 45:5;63:24;69:18; 70:4;74:22;75:1,13 tracked (1) 73:3 Trades (2) 59:24;71:11 train (2) 33:16;86:22 trained (4) 41:16;87:25;97:22; 98:5 training (45) 66:5,6,24;82:8; 85:4,8,10,12,18,21, 25;86:2,3,20;87:8,10, 12,14,15,17,18,23; 88:8,16,23;89:3,4,16, 19;90:7,8,21;91:18; 92:19,24;93:23,24; 94:1;97:2;98:21; 99:17;103:12;106:20; 109:11;113:24 trainings (1) 89:17 transcribing (1) 4:9	transfer (1) 22:25 transiting (1) 22:18 transitory (1) 78:21 translation (1) 2:14 travel (1) 73:7 traveling (1) 73:3 traversing (1) 39:10 Treanor (13) 10:15;22:22;33:6; 38:3;51:17;62:10; 69:14;72:22;81:10; 85:23;90:9;94:17; 107:2 Treanor's (3) 14:10;50:14;78:9 treatment (1) 94:4 tried (2) 54:1;75:7 trigger (3) 12:21;97:1;103:23 triggering (2) 14:2;101:7 triggers (1) 54:6 trouble (1) 24:6 truck (1) 25:23 trucking (1) 23:6 trucks (3) 23:12;25:24;70:1 true (1) 115:7 truly (1) 13:24 try (17) 10:18;12:14,16; 15:21;25:17;34:22; 35:7;51:23;69:17; 70:4,6;83:1;109:7; 111:10;112:25;114:5, 12 trying (18) 24:11;26:8;34:3,14; 41:9;50:21;53:25; 56:22;57:1;59:9; 63:22;66:16;85:14; 86:10;96:6;102:20; 111:1;117:9 Tubbs (1) 58:7 Tuolumne (4) 8:22;67:8;75:18; 80:4	turn (2) 4:4;100:16 turnaround (1) 62:14 turning (1) 60:3 turns (1) 37:6 twelve (1) 14:9 twice (1) 48:4 two (14) 6:3;23:20;26:11; 65:7;68:1,22;71:19; 72:22;79:20;95:6; 104:24;105:11;107:1; 112:9 two-part (1) 95:5 two-way (2) 81:16,20 tying (2) 108:18;109:21 Tynan (1) 20:4 type (9) 7:19;15:24;31:19; 57:2,14;84:18;86:19; 105:7;109:1 types (7) 7:17;24:24,25;25:4; 86:20;103:24;105:21 typically (10) 25:16,19;26:3,7; 31:21;82:5;88:11; 90:10;102:15;103:17	understandable (2) 84:25;87:12 understood (1) 51:21 undertaken (1) 56:4 unfiltered (1) 7:9 unfortunately (4) 9:18;39:3;61:16; 85:14 unhealthy (6) 28:6,15;100:9; 101:1,2,6 unique (1) 45:1 United (1) 27:21 University (2) 17:1;93:15 unless (3) 56:4;94:18;105:7 unlike (1) 113:8 unusual (1) 103:18 up (35) 4:6,21;6:3;8:11; 19:6;20:25;28:13; 31:18,21;33:17; 36:13,19;41:7,9;48:7; 50:18;59:15;60:15; 65:21;67:9;73:6; 75:19;76:1;79:11; 86:5;90:19;94:19; 101:16;105:3;108:18; 112:5;114:15;117:20, 22,24 update (3) 3:18,20;74:23 updated (1) 71:16 updating (1) 75:2 upon (2) 37:17;106:12 urban (1) 52:16 urge (9) 10:6;13:1;45:8; 47:12;72:1;110:25; 111:8,19;117:4 urgency (1) 33:24 use (48) 7:22;9:12;17:4; 20:21;26:21;38:24; 40:8;41:21,22,22; 71:15,17;80:5,19; 81:1,17;83:6;88:1; 89:18;92:19;94:11, 12,25;95:10,17;96:8; 97:16;98:16,18;
U				
UC (2) 57:15;64:10				
UCSF (1) 64:7				
unable (1) 4:1				
unaware (1) 42:23				
uncomfortable (1) 64:23				
under (18) 4:1;7:5;15:12;16:4, 20;18:7;25:10,13; 40:25;55:8;56:9; 62:25;72:23;86:4; 96:15;102:6;103:19; 111:6				
undergo (1) 75:22				
underline (1) 15:20				
underlying (3) 46:9,10;47:3				

<p>99:11,13,13,14,17; 101:4;102:3;105:7, 25;106:13;107:8,22; 108:13;110:12; 111:13;112:9;115:15; 116:6,21 used (16) 17:23;20:23;22:14; 25:10;26:2;44:18; 50:4;88:4;95:4;96:17; 99:3;102:11;103:23; 104:15;115:9,11 useful (3) 23:16;102:6;103:24 user (4) 99:17,21;101:11,12 uses (1) 99:22 using (11) 9:17;14:22;44:7; 70:3;81:17;82:15,15; 87:17;97:24;108:10; 113:15 usual (2) 36:15;78:17 usually (1) 41:16 utilities (3) 23:19;42:10;102:1 utility (9) 11:24;20:25;22:12; 38:12,15;39:1;41:25; 74:14,18 utilize (1) 93:4</p>	<p>40:18 Ventura (1) 27:23 versus (4) 39:7;99:7;104:7; 115:10 vested (1) 14:11 view (1) 65:25 vines (1) 118:12 vineyard (3) 19:15;59:5;118:11 vineyards (2) 18:22;59:4 violate (1) 108:24 violation (1) 49:6 Virginia (1) 10:10 visibility (2) 80:9;81:2 visit (1) 46:11 visual (1) 80:5 voltage (1) 40:5 voluntarily (2) 76:22,24 voluntary (11) 35:3;38:24;40:8; 41:22;55:8;68:21; 78:1;98:16;99:13; 106:13;108:13 volunteer (2) 80:23;94:11 vote (4) 5:16;35:22;36:2,4 voted (1) 4:18 votes (1) 37:12 vulnerable (5) 32:1,3,4;43:1,18</p>	<p>11:18;42:1;74:18, 19;75:9;77:6;86:11; 96:3 waters (1) 91:10 way (39) 13:21;14:25;19:17; 27:6;43:25;51:2; 57:17;60:17;65:4; 68:1,14;69:1,8;70:8; 73:18;74:4,7,8;77:24; 79:1;81:20;82:12; 83:3,15,17;84:12,19; 85:20;90:23;92:20; 97:13;98:10;100:16; 106:10;110:17; 111:21;115:9,14; 118:16 ways (2) 31:10;66:14 wear (14) 40:5;64:5,24,25; 77:5;88:19,25;97:25; 98:5,7,9,11,13;106:19 wearing (4) 39:5;78:2;80:16; 106:22 weather (3) 53:10;59:3;113:10 website (4) 3:18;5:2;20:21; 21:20 Wednesday (1) 20:17 weeds (1) 45:7 week (1) 116:7 weeks (1) 116:3 weighted (2) 14:24,25 Weir (2) 40:22;69:13 Welcome (3) 2:6,8;7:25 welders (1) 103:12 well-above (1) 105:11 well-covered (1) 113:1 well-written (1) 13:12 weren't (3) 3:18;57:13,17 West (1) 10:9 Western (2) 48:1;76:16 whatnot (1) 22:1 what's (5)</p>	<p>34:17,18;47:20; 69:5;78:8 whenever (1) 63:7 whereas (1) 115:12 wherever (1) 69:3 whichever (1) 100:16 whole (5) 5:8;49:10;74:1; 77:10;98:16 wholeheartedly (1) 107:4 Wick (6) 33:4;51:17,19;68:8; 73:15;81:25 Wide (1) 17:1 widening (1) 38:11 wild (1) 73:23 wildfire (42) 2:20;4:13,20;6:24; 16:2,12,16;18:10; 19:20,22,25;23:5; 25:16;28:1,3,24; 30:16;37:16;48:11; 52:10;54:20;56:2; 62:23,24;63:8,9; 64:13;67:19;71:23; 74:3;76:5,8;84:2,10; 86:17,18;88:17; 95:23;96:23,25; 97:20,24 wildfires (9) 18:21;28:14;29:22; 39:4,21;68:24;76:6; 105:9;113:10 wildland (1) 64:12 wind (4) 19:9,16;21:25;54:3 windows (2) 7:13;15:11 Wine (5) 18:17,21,22;82:24; 97:9 winery (1) 118:11 wines (1) 18:19 wires (3) 24:2,9,12 withhold (1) 105:24 within (5) 32:3;66:2;79:16; 100:5,9 without (11) 27:25;54:7;55:8;</p>	<p>67:14;68:22;76:20; 77:25;88:18;89:3; 95:6;98:14 witnessed (1) 71:22 women (1) 93:22 Woodard (1) 74:14 Woolsey (1) 30:17 word (8) 16:14;50:4;62:23; 81:17;86:14;99:14; 107:7,22 words (4) 51:4;80:7;83:5; 98:18 work (62) 4:19;9:16,19,19; 10:10,15;13:3,3;23:1, 9,24;24:25;1,12,20; 26:8;27:10;28:9; 30:14,16;31:5,19; 34:21;36:9;40:14; 42:17;43:12;44:16; 50:2,3;54:14,16,17; 57:18;58:17;59:19; 60:6,14,15;61:25; 62:13;66:5;67:19; 69:22,24;80:17,18,18; 81:6;82:6;85:14; 89:13;90:15;91:21; 99:3,5;108:17; 111:17,17;112:3,6,6; 118:10 workable (1) 13:10 workday (2) 29:14;68:14 worked (1) 93:2 worker (16) 14:25;30:14;61:3; 67:18,20;93:5;97:10, 19;98:8,11,12,15,24; 105:8;106:21;109:12 workers (118) 2:19;4:12,20;9:10; 11:16;14:15;15:2,25; 16:2;17:8;27:3,7,25; 28:7,12,21;29:6,12, 20,21;30:6,13,15,17, 19,24;31:4,7,18,20, 25;32:1,2,3;34:8,15, 24;35:1,5;37:20; 38:16,24;39:9;40:3,4; 42:17;43:16,16;44:1; 45:18,21;46:19,21; 47:2,12,18;54:20,25; 55:18,25;56:8,12,17, 21;57:7;59:6;60:5,14, 18;61:5,9,16,19;71:6,</p>
V		W		
<p>vague (1) 17:21 Valerie (1) 3:13 Valley (6) 57:24;58:6,15,16; 59:15,15 variable (2) 115:15,17 variables (3) 113:13,17,20 various (3) 54:15,17;83:14 vary (1) 21:21 vast (1) 107:5 vehicles (5) 7:7,12,21;15:9; 23:13 vendors (1) 102:22 ventilated (1) 40:14 ventilation (1)</p>	<p>wage (1) 84:15 wait (2) 44:8;46:1 walking (3) 15:3;28:11;45:24 Walter (1) 57:24 wants (1) 79:15 warning (2) 13:17;52:24 water (8)</p>	<p>well-written (1) 13:12 weren't (3) 3:18;57:13,17 West (1) 10:9 Western (2) 48:1;76:16 whatnot (1) 22:1 what's (5)</p>	<p>wildfires (9) 18:21;28:14;29:22; 39:4,21;68:24;76:6; 105:9;113:10 wildland (1) 64:12 wind (4) 19:9,16;21:25;54:3 windows (2) 7:13;15:11 Wine (5) 18:17,21,22;82:24; 97:9 winery (1) 118:11 wines (1) 18:19 wires (3) 24:2,9,12 withhold (1) 105:24 within (5) 32:3;66:2;79:16; 100:5,9 without (11) 27:25;54:7;55:8;</p>	

25;72:2,9,10,12;76:5; 5,6;80:6;84:4,7,13,14, 15;85:1;88:18,25; 89:14,16,18,24;90:1; 93:3;95:9,12;97:11; 102:18;103:5,9,16; 105:1,4;106:9,12,14, 14,18;109:6,10,18; 118:1,2,11,11	9:16;20:7;39:1; 48:20;77:15;111:7 year's (1) 46:13 young (1) 78:19	48:20;75:12;111:7 200 (2) 54:25;89:16 200,000 (2) 63:14;69:17 2007 (1) 23:8 2011 (1) 49:20 2017 (3) 18:21;20:9;27:24 25 (3) 54:25;69:6;100:5 250 (1) 100:1 26 (1) 38:5 2774 (1) 60:24 2C (5) 18:7;22:13;23:17; 58:23;97:14 2E (1) 7:23	5:00 (1) 6:13 50 (3) 68:12;69:6;100:9 500 (5) 30:19;100:8,25; 104:11;116:1 500,000 (1) 54:14 5141 (1) 96:14 5141.1 (1) 16:1 5141c3 (1) 94:24 5144 (8) 54:10,11;55:13; 68:18;77:11,22;78:1; 99:12 573 (1) 4:13
workers' (1) 58:1 workers/fire (1) 9:9 workforce (2) 58:3;72:7 workforces (2) 78:21;85:9 working (25) 2:10;3:16;26:10; 27:22,25;31:19; 39:25;40:4;43:13; 49:1;52:14;53:7;55:1; 57:17;58:4;61:22,23; 67:23;71:7;84:15; 86:17,17;97:12; 104:23;107:10 workplace (7) 10:17;18:20;19:11, 12;20:6;21:18;100:23 workplaces (7) 10:24,25;11:1;16:6, 8;57:13;83:14 works (1) 98:10 Worksafe (8) 4:14;26:18;30:21; 43:9;60:10;71:11; 83:23;91:15 world (2) 18:19;47:18 worse (2) 18:24;29:8 worsen (1) 29:16 worth (2) 53:3;106:9 write (1) 117:9 writing (2) 115:4,16 written (13) 3:17;4:3;6:12; 60:19;63:12;65:3; 73:12;82:3;83:4,17; 85:6;97:13;115:8	Z zone (5) 42:7,9,11;73:24; 80:16 Zuniga (2) 30:11;89:12	1	6
workplace (7) 10:17;18:20;19:11, 12;20:6;21:18;100:23 workplaces (7) 10:24,25;11:1;16:6, 8;57:13;83:14 works (1) 98:10 Worksafe (8) 4:14;26:18;30:21; 43:9;60:10;71:11; 83:23;91:15 world (2) 18:19;47:18 worse (2) 18:24;29:8 worsen (1) 29:16 worth (2) 53:3;106:9 write (1) 117:9 writing (2) 115:4,16 written (13) 3:17;4:3;6:12; 60:19;63:12;65:3; 73:12;82:3;83:4,17; 85:6;97:13;115:8	1 (2) 16:5;49:20 10 (7) 99:21;100:11,15, 18;101:15;104:17,17 10:03 (1) 2:2 100 (2) 46:16;54:25 101 (16) 27:5,12;28:19; 42:25;43:15;46:16; 60:12;64:25;65:1,1; 67:16,24;71:20; 83:25;99:7;101:5 13 (1) 70:19 15 (1) 89:17 15,000 (1) 28:2 150 (22) 11:9;17:20;18:10; 22:14;27:5;28:5,15; 39:16;42:25;46:16, 20;54:25;55:7;63:9; 65:1;67:16;71:20,22, 24;99:7;113:22;114:9 16th (2) 5:12,19 18th (2) 5:15,19 1991 (1) 86:3	3	60 (1) 31:14 65 (1) 93:21
year (11) 6:3,4;19:24;36:13; 45:20;54:15,17;76:1; 79:9,14,16 years (6)	2 2 (2) 16:20;20:17 2,000 (1) 59:16 2.5 (1) 68:6 2:10 (1) 118:20 20 (3)	3 (5) 16:22;98:17; 100:22,22,23 3,000 (2) 23:8;59:16 30 (5) 46:2;75:12;77:15; 89:17;111:7 300 (12) 54:25;55:11;71:23; 94:12,13;99:11,25; 101:4,16;102:3; 113:22;114:9 300s (1) 112:17 301 (2) 99:11;100:5 32 (1) 64:14 3A (1) 89:7	7 70 (2) 63:14;69:16 70,000 (1) 78:12
Y	4	8 8 (2) 46:18;94:24	8
year (11) 6:3,4;19:24;36:13; 45:20;54:15,17;76:1; 79:9,14,16 years (6)	5 40 (1) 75:12 400 (1) 116:1 470- (1) 54:14	9 9 (2) 91:3,3 95th (1) 100:15	9
year (11) 6:3,4;19:24;36:13; 45:20;54:15,17;76:1; 79:9,14,16 years (6)	5 (6) 100:15,17,17,21; 104:10,12		